

ARMED FORCES EPIDEMIOLOGICAL BOARD

* * * * *

* * * * *

SPRING MEETING

* * * * *

* * * * *

DALRYMPLE CONFERENCE ROOM
1425 PORTER DTREET
FREDERICK, MARYLAND

* * * * *

* * * * *

WESNESDAY, MAY 21, 2003

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

* * * * *

NEAL R. GROSS
COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

BOARD MEMBERS :

STEPHEN M. OSTROFF, M.D., President
 LINDA ALEXANDER, Ph.D.
 DAVID ATKINS, M.D.
 DAN BLAZER, M.D.
 DOUGLAS CAMPBELL, M.D.
 JACQUELINE CATTANI, Ph.D.
 BARNETT L. CLINE, M.D.
 GREGORY C. GRAY, M.D.
 L. JULIAN HAYWOOD, M.D.
 JOHN HERBOLD, DVM
 TAMARA LAUDER, M.D.
 GRACE LeMASTERS, Ph.D.
 LEON S. MALMUD, M.D.
 KEVIN M. PATRICK, M.D.
 GREGORY A. POLAND, M.D.
 DENNIS F. SHANAHAN, M.D.
 ROBERT E. SHOPE, M.D.

COL. RICK RIDDLE, USAF
 AFEB Executive Secretary

PREVENTIVE MEDICINE OFFICERS :

COL. ROBERT DeFRAITES, MC
 LtCOL. MAUREEN FENSOM, CFMS
 CDR. SHARON LUDWIG, USPHS
 LTC. DAVID D. JONES, MSC, USN
 CAPT. K.W. SCHOR, MC, USN
 COL. D.S. WHITE, BVMS, MRCVS
 CAPT. ALAN J. YUND, MC, USN
 LtCOL. KELLY WOODWARD, AFMO

FLAG STAFF OFFICERS :

RADM. ROBERT D. HUFSTADER

DESIGNATED FEDERAL OFFICIAL :

MS. ELLEN P. EMBREY

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON. D.C. 20005-3701

A G E N D A

	<u>PAGE</u>
Opening Remarks	
- Dr. Ostroff	4
- Ms. Embrey	4
- Col. Riddle	6
Question to the Board - Col. DeFraités	9
Analysis of G6PD Screen in US Military Troops	
- Col. Shanks	11
Question to the Board - Col. Shanks	41
Evidence-Based Review	
Malaria Chemoprophylactic Drugs	
- Dr. Monica Parise	45
Malaria Chemoprophylaxis Operational Considerations	
- Col. DeFraités	65
Discussion	80
Preventive Medicine Reports	
Health Affairs - LtCol. Gibson	102
Joint Staff - LTC David Jones	122
Army - Col. DeFraités	149
Navy - Capt. Jeff Yund	170
Marine Corps - Capt. Ken Schor	185
Presentation of the Secretary of Defense Medal for Outstanding Public Service	
- Dr. William Winkenwerder	161
Preventive Medicine Reports	
Air Force - Col. (Sel) Kelly Woodward	196
Coast Guard - Cdr. Sharon Ludwig	206
British MOD - Col. David White	213
Canadian Forces - LtCol. Maureen Fensom	220
DOD Influenza Surveillance - Col. Neville	228
Closing Remarks	240

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

P R O C E E D I N G S

(8:10 a.m.)

1
2
3 DR. OSTROFF: Let's go ahead and try to
4 get started so that we keep on schedule, we have a
5 very full day. I can't quite figure out if these
6 are live-fire exercises that are going on overhead,
7 or whether or not there's lightening and thunder
8 outside, or exactly what it is, but hopefully it
9 won't last too much longer.

10 Let me welcome you to the session this
11 morning, and why don't we start with having Ms.
12 Embrey make her required comments before we go any
13 further, and then once that's completed, of course,
14 we have several Board members who weren't here
15 yesterday, that are here today, and we have a
16 couple of new Board members. I'd like to kind of
17 to around the room again and have folks introduce
18 themselves.

19 MS. EMBREY: As the Designated Federal
20 Official for the Armed Forces Epidemiological
21 Board, a Federal Advisory Committee to the
22 Secretary of Defense, which sort of is the
23 continuing scientific advisory body to the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 Assistant Secretary of Defense for Health Affairs
2 and the Surgeons General of the military
3 departments, I hereby call the Spring 2003 second
4 day meeting to order.

5 DR. OSTROFF: Thanks very much.
6 Because of the ceremony this afternoon, the seating
7 arrangement has been changed, and the Board members
8 are actually in alphabetical order. So why don't we
9 go ahead and start over here with Dr. Campbell.

10 DR. CAMPBELL: I'm Doug Campbell, with
11 the North Carolina Department of Health.

12 DR. CATTANI: Jackie Cattani,
13 University of South Florida Center for Biological
14 Defense.

15 DR. CLINE: Barney Cline, Tulane
16 University.

17 DR. FORSTER: Jean Forster, School of
18 Public Health at the University of Minnesota.

19 DR. GRAY: Greg Gray, College of Public
20 Health, University of Iowa.

21 DR. HAYWOOD: Julian Haywood,
22 University of Southern California Los Angeles.

23 DR. LAUDER: Tammy Lauder, (inaudible).

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. BLAZER: Dan Blazer, from Duke
2 University, wondering why I'm out of order
3 alphabetically.

4 COL. RIDDLE: Rick Riddle, the
5 Executive Secretary for the AFEB.

6 DR. OSTROFF: Steve Ostroff, from the
7 Centers for Disease Control. For those who aren't
8 familiar with us, there's an explanation for
9 everything.

10 MS. EMBREY: Ellen Embrey, from Public
11 Affairs, Department of Defense.

12 DR. SHOPE: Bob Shope, from the
13 University of Texas.

14 DR. SHANAHAN: Dennis Shanahan, Injury
15 Analysis, Carlsbad, California.

16 DR. RUNYAN: Carol Runyan, University
17 of North Carolina.

18 DR. POLAND: Greg Poland, Mayo Clinic,
19 Rochester.

20 DR. PATRICK: Kevin Patrick, San Diego
21 State University.

22 DR. LeMASTERS: Grace LeMasters,
23 University of Cincinnati College of Medicine.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 DR. HERBOLD: John Herbold, University
2 of Texas School of Public Health.

3 DR. OSTROFF: Thanks very much. Rick,
4 why don't I turn it over to you for your comments.

5 COL. RIDDLE: I just want to remind
6 everybody that if would go ahead and please
7 complete and sign your 1352s and send those in to
8 Jean so we can process your travel vouchers. If
9 you have any taxi needs or transportation needs to
10 the airport today, just let Severine or Karen know
11 and we'll take care of that. Also, for the
12 Continuing Medical Education Credit, make sure that
13 you turn in your evaluation form. For Board
14 members, the evaluation form is in your notebook.
15 For everybody else, there are evaluation forms back
16 here on the table in the back. So if you signed
17 the roster yesterday, when turn your evaluation
18 form into Karen we'll get it for you.

19 Just as a reminder, the next AFEB
20 meeting will be on 16 and 17 September 2003. This
21 is the third Tuesday and Wednesday of September.
22 Our recurring meeting schedule is February, May and
23 September, the third Tuesday and Wednesday.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 The Navy is going to host us, and the
2 Board has expressed an interest in going to a
3 submarine base, so we're going to have this meeting
4 at the New London Submarine Base in Groton,
5 Connecticut, and the host is going to be the Naval
6 Submarine Medical Research Laboratory. In
7 addition, because the Coast Guard Academy is just
8 across the river, Sharon is already working and has
9 made arrangements for us to receive a tour and a
10 couple of introductory briefs at the Coast Guard
11 Academy. I don't think we've ever visited the
12 Coast Guard Academy, so this will be something new
13 for the Board and a good experience for us.

14 Make sure all attendees for the meeting
15 sign in with Severine at the table coming in the
16 door and, again, we'll have refreshments this
17 morning and this afternoon. We're going to have a
18 catered working lunch here at USAMRIID for the
19 Board members, the Preventive Medicine Consultants
20 and the speakers. So if you'll just hang around
21 over the lunch hour. For everybody else, there's
22 many restaurants out through the gate here at
23 Detrick, and they also have the cafeteria over at

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 NIH.

2 Restrooms are just right outside the
3 door here to the right, and then down that center
4 hallway to the left. If anybody has any questions
5 or needs any assistance, please let myself or
6 Severine or Karen know. And, again, we express our
7 appreciation to USAMRIID and all the folks here
8 that have helped us out and supporting another very
9 successful meeting of the AFEB.

10 DR. OSTROFF: Thanks. We have a series
11 of issues this morning, some of these were carried
12 over from the previous meeting. Because of some
13 scheduling conflicts, we decided to put both of the
14 questions together for this meeting, and so we'll
15 hear a series of presentations, and the first
16 presentation will be by Col. DeFraites, who is
17 going to brief us on the first question that is
18 before the Board.

19 COL. DeFRAITES: Thank you. I don't
20 have any slides for this part of the presentation,
21 but I'll be introducing the question from Gen.
22 Peake, the Surgeon General of the Army.

23 G6PD screening has long since been a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 point of contention among the services. Currently
2 the Air Force and the Navy screen all personnel
3 upon accession or soon thereafter for the presence
4 of glucose-6-phosphate dehydrogenase deficiency.

5 The issue for the military specifically
6 has to do with the use of primaquine for terminal
7 prophylaxis for the relapsing forms of malaria.
8 Army does not presently screen, and the question
9 for the Board from Gen. Peake this time, just to be
10 brief, is essentially to reevaluate whether G6PD
11 screening, as currently practiced, is effective in
12 preventing post-primaquine adverse events, and
13 quantify the degree of effectiveness, if possible.

14 And if it is judged to be effective screening the
15 way it is currently done in the military, make
16 recommendations on the need to screen military
17 personnel for G6PD taking into consideration a
18 number of factors in terms of cost-effectiveness
19 and timing.

20 I think one of the issues the Army has
21 had with screening at accession is whether or not
22 this information is specifically related to
23 primaquine use, whether the information on

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 screening is actually available for use at the time
2 the decision is made whether the person needs to go
3 on primaquine or not. Most of the time -- well, I
4 don't know about most of the time -- but a very
5 common scenario is that this decision of using
6 primaquine is done either during a deployment or
7 soon thereafter. Almost immediately thereafter, as
8 the troops are boarding aircraft returning from a
9 malarious area, there is sometimes a decision made
10 at that point, or the decision is made that
11 primaquine is indicated. The problem comes up that
12 most times in those situations there are no medical
13 records available to be screened to see who is G6PD
14 deficient or not. And so in that case you end up
15 with a situation where you have to make some
16 arbitrary decisions of who gets primaquine or not.

17 So, with that as kind of the background
18 from the Army perspective, that's why this
19 question, even though it had come before the Board
20 in 1998, we wanted to reintroduce the issue to the
21 Board at this time.

22 In order for us to get a little better
23 perspective and for the Board to get some

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 additional background information on the use of
2 primaquine in the military, Col. Shanks is here
3 with this presentation and is prepared to give a
4 little bit more background.

5 I'll be happy to entertain any
6 questions about the particular question before the
7 Board, if you have any right now.

8 (No response.)

9 Okay, thanks.

10 DR. OSTROFF: Any questions before we
11 get started?

12 (No response.)

13 If not, Col. Shanks -- and let me just
14 point out a couple of things before he gets
15 started. His slides are at Tab 6 in your briefing
16 book.

17 COL. SHANKS: Good morning. As has been
18 said, I'm Col. Shanks, and will be reviewing G6PD
19 deficiency for the Board, who last reviewed this in
20 1998.

21 (Slide)

22 First, I would like to recognize the
23 other people who appear on the title slide, who

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 assisted with this presentation.

2 (Slide)

3 G6PD is an enzyme and is part of the
4 biochemical pathway that produces hydrogen
5 molecules for reducing equivalence in biosynthesis.

6 It's a gene that falls on the X chromosome, and
7 thus is sex-linked with full expression in males.
8 G6PD gene has been extensively selected over the
9 past 10,000 years apparently due to its survival
10 advantage for malaria. The practical
11 problem for people with G6PD deficiency is that
12 they can have hemolytic anemia when they are
13 exposed to a variety of drugs. The exact mechanism
14 that this occurs with oxidizing agents is clear,
15 but the mechanism is not clear with primaquine
16 which also initiates hemolytic anemia.

17 (Slide)

18 Now, there are many G6PD genetic
19 variants. For the purposes of today I'm going to
20 discuss two broad categories, and these are the A-
21 and the B- minus form. The A- is the most common
22 form and is primarily found in persons of African
23 descent. It is a relatively mild deficiency, most

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 people having greater than 10 percent of the normal
2 enzyme activity. They are still deficient, but
3 they have greater than 10 percent.

4 The B- is a much more deficient
5 variant. It's found primarily in people of
6 Mediterranean descent such as Greeks, Italians,
7 Turks, Lybians and Moroccans. It's a more severe
8 deficiency and has a much higher likelihood if you
9 give these people a drug, that they will actually
10 hemolyze.

11 (Slide)

12 This map is just to illustrate that
13 although I'm only talking about two kinds, there
14 are many, and the concentration in the tropical
15 areas is again consistent with its selection due to
16 malaria.

17 (Slide)

18 Now, a single dose of primaquine can
19 cause hemolysis. This was certainly observed in
20 the Vietnam War when the CP tablets were given
21 weekly, which have 45 mg of primaquine, but 15 mg
22 can also cause hemolysis.

23 The actual hemolytic event is not

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 immediate. You usually see it within 1-3 days
2 following taking the drug, so the person may have
3 taken several doses of the drug prior to the onset
4 of clinical hemolysis.

5 In highly deficient people, primaquine-
6 induced hemolysis can truly be a life-threatening
7 disease. The deficient erythrocytes are hemolyzed.

8 The new erythrocytes with relatively more enzyme
9 are spared. In female heterozygous variants, one
10 can see hemolysis of one-half of the blood volume,
11 the ones that have that particular X variant.

12 Blood transfusion and hemodialysis for
13 acute renal failure and cardiac failure are common
14 in very severe cases with massive hemolysis.
15 Although this usually happens with the B- G6PD
16 variant, the greater number of A- G6PD variants
17 with smaller risk of smaller risk of hemolysis
18 means that many of the hemolytic cases are still
19 due to the A- variant.

20 (Slide)

21 Now, although the A- variant is the
22 most common in the U.S. military population by far,
23 the B- variants are the most important to any

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 recommendation because these persons will greatly
2 hemolyze on receiving primaquine. The estimated
3 incidence of B- variant is shown here and, again,
4 as you can see, these are estimates, but they vary
5 quite a lot depending on where your mother is from
6 -- not where your father is from, where your mother
7 is from.

8 The hemolysis rate in A- variants is
9 quite variable, and most of them actually do
10 tolerate primaquine rather well, although many will
11 show laboratory evidence of hemolysis. Severe
12 hemolysis remains a risk for A- variants, but the
13 actual proportion of those who would be affected on
14 receiving primaquine is fairly difficult to
15 estimate.

16 (Slide)

17 Now, since ethnicity and G6PD status is
18 linked, I want to show you some recent data on the
19 evolving ethnicity of the U.S. Army. Now, this
20 isn't as useful as it could be because the Army
21 doesn't classify people as to whether they are
22 Italian or Greek or other things, these are the
23 categories that it gives us. But a large proportion

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 of the Army are African Americans. The Asian
2 category is growing, and there are some other veyr
3 severe G6PD variants seen in that population. So
4 we can't comment directly on people who have joined
5 the military as recent immigrants from Eastern
6 Europe and the Middle East, but this change is
7 important in terms of the risk of hemolysis on
8 those receiving primaquine.

9 (Slide)

10 Now, there's a whole laundry list of
11 drugs that have been shown to cause hemolysis in
12 G6PD deficiency. The one we're really discussing
13 today is primaquine, adn I point that out becuase
14 it is not known to be an oxidant, which is what
15 triggers the hemolysis in many of these cases. It
16 may be that a metabolite is an oxidant, but the
17 actual mechanism is not understood.

18 (Slide)

19 Now, relapsing malaria, such as
20 Plasmodium vivax, they have latent forms known as
21 hipnozoites in liver. These cause clinical
22 symptoms months to years after the actual mosquito
23 infection has occurred. Most malaria in the U.S.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 Army is due to exposure to vivax malaria on the
2 Demilitarized Zone in Korea, but increasingly we
3 are seeing cases from Afghanistan to include a
4 recent outbreak of 23 cases of vivax from Fort
5 Benning, almost certainly contracted during
6 Operatoin Enduring Freedom in Afghanistan.

7 Falciparum malaria is usually seen in
8 soldiers returning from Africa, and the falciparum
9 parasite does not have a latent phase in liver, and
10 so does not specifically require primaquine
11 treatment.

12 (Slide)

13 Now, since we have been giving
14 primaquine and since we do have records, we tried
15 to review the Defense Medical Surveillance System
16 over the last ten years and see what we could find
17 in terms of G6PD hemolysis. The short answer is,
18 not much. It's very difficult to estimate the
19 level of under-reporting becuase many soldiers are
20 cared for in civilian hospitals. It's also very
21 difficult in the acute event to make a specific
22 diagnosis of G6PD deficiency becuase once you have
23 hemolyzed, almost by definition, the surviving

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 cells have some G6PD activity. So you have to
2 bring the person weeks to months later to test
3 them, and sometimes that doesn't happen. Our best-
4 guess estimate is that there's about 1 case of
5 severe hymolysis a year currently -- and I would
6 point out this is not just the Army, this is all of
7 the services.

8 (Slide)

9 Now, the Board has looked at this
10 question before, specifically in 1998, and this
11 shows a summary of my interpretation of the
12 recommendations made at that time, that soldiers
13 that were going to receive primaquine in a malaria
14 endemic area would be screened. This was presented
15 by Maj. Littrell, and we recognize him as actually
16 helping iwth this particular presentation.

17 (Slide)

18 Now the question has already been
19 summarized for you by Col. DeFraitess as to who
20 should be screened -- if screening should occur
21 and, if so, who should be screened, and the
22 complicatoin here is that -- this is an Army-
23 specific issue -- the good side of htat is we

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 actually have data from our sister services, so
2 I'll show you what we've got. Currently, by U.S.
3 Army regulatoin, the only people who are required
4 to have G6PD deficiency screening are high-altitude
5 parachutists and military divers.

6 (Slide)

7 Now, this is from teh United States
8 Navy, and I would like to thank Cdr. Meg Ryan and
9 the U.S. Naval Great Lakes Recruit Center for thse
10 numbers.

11 Judging on what the Navy is seeing both
12 then and currently, 2 percent of your recruit
13 population being G6PD deficient is a pretty good
14 estimate.

15 (Slide)

16 Now, we also looked at their deficiency
17 breakdown by ethnicity. Now thse are the number
18 deficient by the number screened. As is indicated,
19 this is primarily a problem in people of African
20 American heritage, but it does exist in the Asian
21 and Caucasian population, and these people tend to
22 be the B- variants that are at greater risk for
23 severe hemolysis.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 (Slide)

2 The Air Force. We'd like to thank Dr.
3 Katerina Newhauser at Brooks Air Force Base for the
4 recent data from Air Force recruits. There the
5 numbers seem to be a little lower, but I think 2
6 percent is still a pretty good estimate of what
7 your recruit population would have.

8 (Slide)

9 Now I'm going to do some cost analysis
10 for you. I'll try and simply this both in terms of
11 time and effort, but a lot depends, as you might
12 imagine, on your assumptions.

13 Now, a vast majority of costs are not
14 due to not screening your population, aare from the
15 few severe reactions that you get -- people who
16 massively hemolyze have to be put in the hospital,
17 hemodialyzed or transfused.

18 How the other side of hte equation
19 balances out in terms of the screening really
20 heavily depends on how much you pay for it. This
21 estimate of \$3 per test is directly from what the
22 United States Air Force is doing at Brooks Air
23 Force Base, and the high end is just what happens

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 if you call up a commercial lab in the Washington,
2 D.C. area and ask how much it is to do a single
3 one.

4 In our hospital estimates, we had a bit
5 of difficulty getting anyone to agree on a current
6 value. This is the same figure that was used in the
7 last analysis to the Board in 1998, and I think is
8 pretty conservative for someone with those sorts of
9 severity.

10 Now, I would submit to you that the key
11 question here in this analysis is what is the
12 percentage of people who are actually G6PD
13 deficient who, if you give them primaquine, will
14 hemolyze.

15 (Slide)

16 Like most of the things in
17 epidemiology, if you can tell me the attack rate, I
18 can tell you the answer. Now, as you might see, we
19 don't actually know what the attack rate is, but let
20 me try and guide you through what I think are some
21 reasonable numbers.

22 This axis is the percent of people who
23 are deficient, who will severely hemolyze once they

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 receive primaquine. That means here -- this is 1
2 in 1,000 of your total population receiving
3 primaquine, and down at this end it's 2 per 10,000.

4 We think these are pretty reasonable numbers.
5 This is what you see in the entire Army. Now,
6 that's on the American Army, but it is a real life
7 circumstance.

8 Now, let's look at what you pay per
9 test, that's the blue line, meaning that let's say
10 if you have 3 percent of your deficient hemolyze,
11 you can pay \$7 per test and everything will break
12 even. What I've been trying to graph here is where
13 do all the assumed costs break even. In that
14 situation with that attack rate, if you pay no more
15 than \$7 test at our assumed hospitalization cost,
16 everything balances out.

17 Well, what happens if your
18 hospitalization cost varies? Well, if you look at
19 \$3 per test, \$6 per test, and \$12 per test, you
20 see that as it becomes an increasingly rare
21 phenomenon the costs necessary to break even
22 quickly become astronomical than probably than
23 anyone would actually spend in a real circumstance.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 If you're like the Air Force and you're
2 paying \$3 a test, everything breaks even well below
3 \$10,000.

4 Before I go on, are there any questions
5 about the graph?

6 (No response.)

7 (Slide)

8 Let me give you a round number example.

9 Let's say we're going to prophylax a brigade of
10 10,000 soldiers coming back from a malarious area.

11 Let's take a high cost estimate of \$10 per test,
12 assume that 2 percent of the people are deficient,
13 and that the hospitalization cost would be about
14 \$10,000.

15 This gives you an estimate on the
16 break-even point of, on the high end of that axis
17 that I showed you, 5 percent. Again, this is 5
18 percent of those who are deficient, so roughly a
19 hemolysis rate of 1 in 1,000.

20 (Slide)

21 Now there's another point that requires
22 judgment because it's unknowable, but it's the
23 question of how does one manage rare events? If

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the U.S. Army uses considerably more primaquine
2 than it has been doing in recent years due to its
3 involvement in Central Asia or Iraq, then the
4 opportunities for severe hemolytic cases will
5 certainly increase. And besides the monetary cost
6 which I just reviewed for you, there's the question
7 of legal liability if a soldier dies or is
8 seriously harmed when the U.S. Army orders him to
9 take a drug which has a known adverse event without
10 a known screening test to identify the persons at
11 risk for that adverse event.

12 (Slide)

13 So let me try and restate the question.
14 Does the risk of severe hemolytic event outweigh
15 the cost of the screening program? Can G6PD
16 screening information actually inform the decision
17 to use primaquine? This is not a trivial point.
18 Just because you screen people on entry to service
19 or at some distant point, that doesn't necessarily
20 mean that that information is available when they
21 are handing out primaquine en masse. And if the
22 recruits are to be screened, should we be concerned
23 about the rest of the population that's already in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 the Army?

2 (Slide)

3 Now, I'll stop here for questoins, but
4 I would note that my picture here is urine from two
5 different people. One of them is me. The other one
6 is a patient I gave innate immuno quinoline to, a
7 woman, interestingly enough, who had an A-
8 deficiency, who hemolyzed half her blood volume for
9 me and certainly scared her physician thoroughly.
10 She did well, but that's not blood, that's urine.
11 That's what you see when someone hemolyzes.

12 Thank you, and I'll take your
13 questions. Yes, please?

14 DR. OSTROFF: Thanks very much. Why
15 don't we start --

16 COL. SHANKS: Oh, I'm sorry, I'm
17 supposed to let you recognize them. All right.

18 DR. OSTROFF: Dr. Cattani?

19 DR. CATTANI: A couple of questions.
20 You mentioned that some of the force that may have
21 received medical attention would receive it outside
22 of the military. I wonder if there is any kind of
23 data on mortality and then a retrospective look at

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 G6PD status at all, would that be available?

2 COL. SHANKS: I think the simple answer
3 is no. We have within the room most of the
4 military's malaria experience, I think, and I don't
5 think any of us has ever seen anyone die of this in
6 the miliary. Now, that's not true in some of the
7 out of the way places we've been in the Third
8 World. The simple answer is no.

9 DR. CATTANI: And my other question is
10 about the logistics of giving the test. Is it a
11 test that can be given easily in the field or is it
12 complicated?

13 COL. SHANKS: It's technically not a
14 difficult test, the screening test. It can be done
15 in micro-titer plates en masse, and that's why it
16 fits so well into a recruit screening program when
17 you're drawing blood on everyone and they're at a
18 fixed position and you can set it up and do it en
19 masse.

20 That being said, it's very hard to ship
21 that out to the field, make sure your controls work
22 right, especially any refrigerated reagents. And I
23 think those of us who have been in the field or

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 returned from Southwest Asia recently would see
2 that it would be very difficult -- not impossible,
3 but difficult -- to get consistent data out in a
4 very hot environment where your reagents may be in
5 trouble.

6 That being said, you can do this off of
7 filter papers. I mean, it can be transported and
8 done at a central lab, but then you've got the
9 problem of actually getting the data back to the
10 person. The reason that person hemolyzed that I
11 showed you there was a clerical error caused by the
12 facts of the data from the central lab shifting,
13 and moving the lines from the positive/negative so
14 they didn't match exactly. So, I'm painfully aware
15 of just how many things can go wrong even when
16 you're screening nearby.

17 DR. OSTROFF: Dr. Shanahan.

18 DR. SHANAHAN: Dennis Shanahan. Do you
19 know what the Air Force and the Navy do with their
20 data?

21 COL. SHANKS: I think I should probably
22 let one of them answer for themselves on that.

23 (Technical malfunctions prevented

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 adequate recording of discussion.)

2 LtCOL. GIBSON: Can I add a little bit
3 to that. Having spent considerable time at
4 Lackland Air Force Base with the recruits, those
5 that are G6PD deficient are brought out of training
6 for a short, relatively short -- 15 minutes --
7 discussion about G6PD deficient and what that
8 means. And it's done within a day or two of
9 knowing about their status which -- the blood is
10 pulled on the first day of -- actually Day Zero
11 before they start to train. So even though there
12 is some education associated with it, you have to
13 remember these are recruits in a training
14 environment (inaudible).

15 DR. OSTROFF: I assume that they're
16 told (inaudible).

17 LtCOL. GIBSON: Yes, sir.

18 CAPT. SCHOR: I guess I'll answer for
19 the Navy. That is annotated in the medical record
20 if they are deficient or not. The discussion is
21 going on over in CENTCOM right now for the tens of
22 thousands that are facing redeployment, and malaria
23 prophylaxis with primaquine is to -- is really not

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the issue of whether they are qualitatively
2 deficient, but the issue I believe is only
3 qualitative screenings on entry, and to issue a
4 quantitative screening so you can give primaquine
5 to more folks. So that fit the issue and that was
6 not addressed here, so that the (inaudible words),
7 wherever they are, the issue that we don't have a
8 deployment health record (inaudible words).

9 DR. OSTROFF: Dr. Patrick.

10 DR. PATRICK: A follow-up question on
11 (inaudible) sensitivity/specificity meant predicted
12 values. I assume that might vary.

13 COL. SHANKS: Obviously, it varies.
14 The typical screening test used is very sensitive
15 and tends to pick up people who may be on the
16 borderline or not have it, and that tends to be the
17 way that you look at using a screening test. It
18 picks up nearly everybody as far as we know. I
19 don't have specific numbers.

20 My limited experience with the
21 quantitative test is even working in a research
22 lab, you better have your controls down very well
23 because that can vary with the ambient temperature

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 in your lab. So we've really not had much
2 practical experience with the quantitative assays.

3 DR. PATRICK: I'm not completely clear,
4 the option on the table is to treat everybody at
5 accession, or is the other option to select
6 discrete people for (inaudible)?

7 COL. SHANKS: Well, again, it sort of
8 depends on how you look at the Board's decision of
9 1998. The Army has not screened anybody really.
10 We still give the drug without screening people.
11 Part of the reason I think that this question is
12 being presented to the Board now is to develop some
13 consensus on the way forward so that we can do
14 something.

15 DR. ATKINS: Just to follow up on that,
16 the slide you had about the analysis of the 10,000
17 person cohort, those are all people who are going
18 to an area where they would get prophylaxis.

19 COL. SHANKS: Yes.

20 DR. ATKINS: Do we have any sense --
21 you alluded to the fact -- of people currently
22 being enlisted, what proportion of them are likely
23 to end up being deployed to an area? Obviously,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 you can't predict that, but --

2 COL. SHANKS: In some units, very high
3 and repeated. The unit I quoted at Fort Benning
4 actually -- our difficulty was they had been both
5 in Afghanistan, around Pakistan, and in Iraq and
6 some other places they didn't want to name. So,
7 for the actual infantry, if they're in for even
8 three years now, the likelihood of going to
9 Southwest Asia is high.

10 That being said, the risk in Iraq is
11 small, and in Kuwait essentially zero. So things
12 change. We didn't expect to be in Somalia where we
13 had a post-deployment outbreak. But I think the
14 likelihood of an Infantryman during his career
15 requiring primaquine is very high.

16 DR. PATRICK: In the deliberations of
17 this, was there ever any consideration given to
18 targeting by racial background? I notice Meg's
19 data on San Diego, that almost 50 percent of people
20 are of mixed race (inaudible) varied background.
21 How is this handled in the services now in 2003 and
22 going forward?

23 COL. DeFRAITES: I can probably answer

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 that because the issue came up with Sickle Cell
2 Trait screening. It doesn't make any sense to ask
3 people about their racial background because
4 (inaudible words).

5 COL. SHANKS: My anecdotal experience
6 is the Australian soldier that massively hemolyzed
7 on me was blond, blue-eyed, had a Maltese mother.

8 CAPT. SCHOR: The racial demographics
9 are self-reported racial demographics, so the Navy
10 personnel system, and I'm sure the Marine Corps
11 system, those are self-reported categories. And
12 you can report anything you want to and it's never
13 questioned.

14 DR. PATRICK: But there's no other
15 (inaudible words).

16 DR. OSTROFF: Col. Fensom and then Col.
17 DeFraites.

18 (Technical malfunctions prevented
19 adequate recording of discussion.)

20 COL. DeFRAITES: This is Col.
21 DeFraites. I just wanted to, just for purposes of
22 refocusing the question, it's not really an issue
23 of, I guess, the fact that screening is -- the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 question we've had in the Army is the effectiveness
2 of the screening programs, and just the knowledge
3 that those -- even though we only have 5 inpatient
4 admissions for what's considered to be (inaudible)
5 related anemia over the last 10 years, none of them
6 are Army people, none of those 5. So it's just
7 curious that those cases showed up in personnel who
8 theoretically were screened -- the Navy, Air Force
9 and Marines -- it's just interesting, that's one of
10 the things that sort of stuck in our craw, is the
11 idea of how is the best way to do this. And, also,
12 the type of screening, if you ever want to do
13 qualitative screening. Now, of course, we're not
14 talking about falciparum malaria, we're talking
15 about vivax malaria, which is no fun to have, but
16 it's not usually related to a more severe outcome.

17 So there is a cost with screening people out who
18 otherwise could take primaquine safely.

19 The experience in the Army has been
20 pretty favorable. They maybe just were lucky
21 because they haven't run across a really fatal case
22 yet, and that's another issue, but just given the
23 experience after Somalia where we did push

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 primaquine (inaudible) given on a massive basis,
2 and we estimate about 8,000 soldiers received
3 primaquine prior to screening. At the time there
4 were 2 soldiers who had some evidence of hemolysis,
5 one was very mild and the other soldier had malaria
6 -- he was developing malaria. Those two never
7 quite sorted out whether they had G6PD deficiency,
8 but never required (inaudible).

9 So, really just to repose the question,
10 what is the most effective way to do this screening
11 to where it makes sense. Does it make sense to do
12 quantitative screening for more focused time and
13 place (inaudible), that's really what we need to
14 know.

15 LtCOL. GIBSON: That's a nice segue
16 because from a logistics standpoint under current
17 DOD policy (inaudible words). So there is an
18 opportunity from a logistics standpoint to
19 (inaudible words).

20 DR. OSTROFF: (Inaudible.)

21 COL. SHANKS: Yes, mostly because of --
22 it depends on how many you're doing. Again, I
23 didn't look at quantitative specifically in terms

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 of cost currently, but when I did it some years ago
2 -- I mean, it was a factor of 5:10 more than just
3 doing a screen. I can't tell you what it is now,
4 but my suspicion would be it's a similar multiple.

5 DR. OSTROFF: The second question I
6 have is do we actually have any data on
7 (inaudible)?

8 COL. SHANKS: The amount of primaquine
9 dispensed is certainly going up. Whether the
10 amount of drug that is ingested is actually
11 increasing is a separate issue.

12 DR. LeMASTERS: Just looking at the
13 Navy statistics, if you are deploying 100,000
14 people and 25 percent of them are African American
15 and you have 1,650 who are going to be deficient --
16 I mean, that seems like a very high number and a
17 pretty high concern. I think action has to be
18 taken based upon your susceptible population and
19 you have a large number of potentially susceptible
20 population. And that's just a comment. My real
21 question is why wasn't the April 1998
22 recommendation put into place?

23 COL. SHANKS: A good question that I

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 have no answer for that would not be considered
2 prejudicial to the Board.

3 COL. DeFRAITES: That's a good
4 question. The reason we brought the question back
5 to the Board again was the fact that the
6 effectiveness of the screening just did not
7 convince the Army to go forth with what policy
8 (inaudible), at what cost, to what end, what we're
9 really going to be accomplishing with what policy.
10 That's the reason.

11 DR. CATTANI: Jackie Cattani. What do
12 you do when someone is G6PD deficient and they have
13 been exposed to malaria?

14 COL. SHANKS: You don't give them
15 primaquine. In the Australian Army, which has much
16 higher exposure in places like New Guinea and East
17 Timor to vivax -- and many times chloroquine
18 resistant vivax, if they are known to be deficient,
19 you omit the primaquine, you talk to them, you try
20 and let the medical officer know particularly that
21 this man may come in with a fever because he hasn't
22 received post-deployment primaquine. Once you get
23 someone who has vivax and G6PD deficiency, that's

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 even more difficult. Generally, the attack rates
2 for vivax relapses are such, a few percent usually,
3 that we can work around the few people who have
4 both.

5 DR. CAMPBELL: Let me ask maybe a naive
6 question, but how much does cost run this decision
7 because, if it does, I think your chart leaves out
8 a lot of cost that would be very important, like
9 what does it cost to bring somebody out of the
10 (inaudible) and replace that person on the post,
11 and I think that cost per case would be a lot
12 higher than \$12,000.

13 COL. SHANKS: Absolutely. This was
14 purposefully meant to be a very conservative
15 estimate.

16 DR. ATKINS: One last point. I mean, I
17 think we can't be completely reassured by the fact
18 that we haven't seen much in the past (inaudible),
19 we're going to need to at least prescribe a lot
20 more primaquine. And so I think we shouldn't rely
21 on the fact that things have been okay so far, when
22 we clearly have evidence that patients are being
23 put at risk if they are taking something and are

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 G6PD deficient. How much risk may be hard to
2 quantitate, but it's certainly --

3 DR. OSTROFF: It seems to me when I
4 think about (inaudible words).

5 CAPT. PARISE: This gets a little bit
6 into my talk, but the standard would be not to use
7 primaquine prophylaxis in anybody who is G6PD
8 deficient regardless of the severity. So really
9 quantitative tests wouldn't be relevant in that
10 situation. For those that, as Dennis mentioned,
11 have vivax malaria and need primaquine for a
12 radical cure, so the treatment mode our
13 recommendations are for those with severe
14 deficiency -- that is, less than 10 percent of
15 enzyme activity -- we would not use primaquine, and
16 for those who have a milder deficiency it could be
17 considered to use a regimen -- probably would use a
18 regimen of 45 mg once a week for 8 weeks. But
19 those are the people who seeking it for a
20 treatment. Basically, a decision between a
21 provider and the patient (inaudible words).

22 DR. OSTROFF: But as far as the issue
23 of using primaquine prophylaxis (inaudible words).

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 CAPT. PARISE: That's right. We
2 usually recommend that a test has to be done before
3 you induce primaquine in any situation.

4 COL. GARDNER: It might be useful to
5 just, when you make a recommendation, discuss the
6 logistics of how to manage that because when you're
7 prophylaxing 10,000 people, they tend not to be
8 one-on-one more invasive, they tend to be a mass
9 prescription that is handed out as they get off the
10 plane and so on, in a mass setting. And the
11 logistics of making sure they check to see what the
12 results were before they hand them the prescription
13 becomes very important, and those types of issues
14 need to be dealt with.

15 DR. CATTANI: I guess I don't
16 understand why if there was a recommendation that
17 it be on the dog tags, why it would be difficult to
18 check that as prophylaxis would be handed out.

19 COL. GARDNER: Is that how it's done in
20 the Air Force?

21 LtCOL. GIBSON: To my knowledge, the
22 Air Force does not have (inaudible) on their dog
23 tags.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 CAPT. SCHOR: That's certainly
2 something that is an optional thing, but I can
3 assure you that there has been three weeks of
4 effort in-theater to identify people who are G6PD
5 deficient based on their medical records which are
6 with the battalion and squadron surgeons. But we
7 have the medical record. We don't have a scaled
8 down deployment record that may or may not have the
9 information. So the issue is might there be some
10 clerical errors at accession where the results are
11 improperly recorded? I suspect there is. It's a
12 human system with the Marine Corps, 43,000 people
13 coming in and being screened every year.

14 Might the test have a false-negative or
15 false-positive rate? I guess that's very small,
16 but that's possible. So did that account for the
17 one or two Marines that got admitted over the last
18 few years? Maybe. So this is a human system that,
19 as was discussed, the population at risk we always
20 make tremendous efforts ont to give primaquine to
21 those that are G6PD deficient and provide those
22 that are deficient the proper counseling. We
23 monitor their fever, and they are followed up by

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 the battalion surgeon who certainly knows if you're
2 G6PD deficient and, yes, we can control the
3 (inaudible words), and that will be a very common
4 scenario. But it's a very overt effort right now
5 in here to educate those who are at risk and to
6 avoid exposure to primaquine those who are at risk.

7 So, are there ways to improve the
8 system? I suspect so. I really don't think it's
9 an issue for us whether we give primaquine
10 (inaudible words) because I think we're still going
11 to do it.

12 CDR. LUDWIG: My question is in the
13 civilian practice, are they tested for G6PD
14 deficiency prior to when they have a relapse and
15 they are being evaluated for treatment, and if
16 that's so, it seems like, from our knowledge,
17 doesn't seem like a relapse of vivax is necessarily
18 (inaudible words), wouldn't that be a reasonable
19 consideration (inaudible).

20 CAPT. PARISE: That is what's
21 happening. I mean, at this point in the civilian
22 sector, primaquine not only is used for treatment
23 (inaudible words). On top of that, we are adding

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 primaquine for primary prophylaxis, so that will
2 change the scenario for those people (inaudible).

3 COL. BRADSHAW: Dana Bradshaw. I just
4 wanted to ask Bob and maybe Dennis if he recalls --
5 you mentioned a recent experience at least in
6 Somalia was that the Army may have had one case
7 that did that hemolysis (inaudible) primaquine. Do
8 you recall if there's any papers published during
9 the Vietnam Era or Korea before the recent
10 resurgence of vivax, of the experience with
11 hemolysis in primaquine use?

12 COL. DeFRAITES: Well, certainly the
13 Vietnam Era, the combination of (inaudible words),
14 but I'm not that familiar right off the top as to
15 whether or not they'd done screening (inaudible
16 words).

17 COL. SHANKS: Yes, it was a 45. That
18 was just discovered during the Korean War.
19 Actually, the question came up because some of the
20 black soldiers were looking kind of bluish around
21 the lips, and that's what sort of started off the
22 whole inquiry that figured out glutathione
23 metabolism and such. They were given daily on the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 boat between Pusan and San Francisco, and that's
2 where we got two weeks as a regimen, and generally
3 it was well tolerated. Now, that experience
4 informed the Vietnam experience where it was felt
5 that by going to weekly, yes, some of them would
6 hemolyze, but they would have a while to recover
7 before you gave them another dose.

8 Again, one of the key issues here is
9 the ethnic composition of the military over the
10 last generation has evolved. Now, it's not
11 completely different, but we certainly have more
12 people from Middle Eastern and Eastern European
13 background now, and those people genetically are at
14 risk.

15 LtCOL. EDMONDSON: LtCol. Mauhee
16 Edmondson, I'm the Liaison Officer and Action
17 Officer for the (inaudible words). I would just
18 ask, keep in mind that the (inaudible) across the
19 country test or screen on an average of 400,000 --
20 60,000 applicants a year to bring in 250,000 a year
21 (inaudible words). The Navy at Great Lakes and the
22 Air Force do the screening at a training site after
23 the applicants are already entered into a service.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 The Army (inaudible) that they have five training
2 sites, not just one or two and, of course, the
3 Marine Corps only has two --

4 CAPT. SCHOR: Three, including officers
5 only.

6 LtCOL. EDMONDSON: So just when you
7 come back with your recommendation, I would ask you
8 to keep that in mind because currently this
9 screening (inaudible words) comes out of specific
10 budgets. (Inaudible) budget would then cut any
11 screening to come out of your budget, which comes
12 out of (inaudible words). But you would have a
13 large group of individuals who would be perhaps
14 screened who would never enter into the military.

15 COL. DeFRAITES: I didn't think
16 (inaudible) screened for any condition that was not
17 disqualifying, medically disqualifying (inaudible
18 words).

19 LtCOL. EDMONDSON: I may have
20 misunderstood, but aren't the (inaudible words).

21 COL. SHANKS: Recruits.

22 COL. DeFRAITES: (Inaudible words), but
23 it required upon arrival of recruits at a station,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 not as a process because it is not medically
2 disqualifying.

3 DR. OSTROFF: Other comments or
4 questions?

5 (Technical malfunctions prevented
6 adequate recording of discussion.)

7 DR. RUNYAN: I was just wondering if
8 there's not screening, is there a process by which
9 people are informed of the risk when they are given
10 the primaquine, is that an issue worthy of
11 consideration?

12 COL. SHANKS: I don't think I can
13 really answer that. As has been already mentioned,
14 primaquine tends to be given en masse when the
15 troops are thinking about something else, i.e.,
16 going home.

17 COL. DeFRAITES: We do have information
18 (inaudible words), and we dispense it, so that is
19 issued when we're giving any (inaudible). So
20 implementation of that policy and that intent, I
21 don't have any data to say how well that is being
22 done, but on that information sheet is given with
23 the primaquine so that soldiers can have the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 information about G6PD deficiency. So if someone
2 knew that they were G6PD deficient and knew what
3 that was and then read the paper -- when they got
4 the paper and they read it (inaudible words).

5 DR. OSTROFF: Thank you very much. Why
6 don't we -- we're a little bit ahead of schedule,
7 but we have a tendency to run over towards the end
8 of the agenda. So let's take a ten-minute break,
9 and according to the clock that's on the wall it's
10 ten after, so let's come back at 20 after 9:00 and
11 start with the second question for the Board.

12 (Whereupon, a short recess was taken.)

13 DR. OSTROFF: Let's bring the meeting
14 back to order.

15 COL. DeFRAITES: I'm going to yield my
16 time to Col. Shanks to present the question.

17 DR. OSTROFF: Dennis, take it away.

18 COL. SHANKS: Again, we would like, as
19 has been stated, to continue to talk about malaria,
20 but this time a different drug and a different
21 issue.

22 (Slide)

23 For the first time in a long time we

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 actually have a new drug to consider, and that's
2 known as atovaquone/proguanil, its trade name being
3 Malarone. The question before the Board basically
4 is now should we use this.

5 Now, this is a combination drug. It is
6 formulated as a combination. You get both drugs
7 in the same pill. It kills both liver stages and
8 blood stages. Now, let me qualify the part about
9 the blood stage. It kills blood parasites that are
10 metabolically active. It does not kill the stay-
11 behind forms in the liver which cause late relapses
12 that we were just recently discussing.

13 Atovaquone/proguanil is really quite
14 well tolerated. Taken on an empty stomach in a
15 treatment dose, some people will vomit, but that
16 really is the major issue. For most people taking
17 a common prophylactic dose, it's very well
18 tolerated.

19 Its efficacy against falciparum malaria
20 both in treatment and in prophylaxis is quite good
21 -- in almost all studies, in excess of 90 percent.

22 I feel I should -- I'm going to comment just very
23 briefly that the vivax data is more limited, but

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 our Navy colleagues have been trying their best to
2 resolve that, and it does work against vivax
3 malaria. The changing of the product insert has
4 not been done yet. And, again, I mentioned that
5 its issue with vivax malaria is not that it doesn't
6 work against it, it's that it doesn't work against
7 the liver stage -- the relapsing stage.

8 (Slide)

9 This drug combination was licensed in
10 2000. It's being widely used in the civilian
11 population, particularly in travel clinics in
12 Europe and in the United States. This came about
13 particularly when studies done in large travel
14 clinics showed that the combination, which is
15 proguanil/atovaquone was better tolerated than
16 proguanil/chloroquine which many people in Britain
17 were using.

18 The dosage is 250 mg of atovaquone, 100
19 mg of proguanil. It's given daily. It can be
20 started basically when you start to travel and 7
21 days after return. There are human challenge
22 studies to show that that's quite sufficient to
23 prevent falciparum malaria. Even if you are bitten

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 on the last day when you leave, 7 days is enough to
2 stop it.

3 One issue, as with all new drugs, is
4 the Malarone is relatively expensive compared to
5 other prophylaxis options. That being said, not
6 too surprisingly the company priced it such that a
7 cost of two weeks of Lariam and two weeks of
8 Malarone are essentially equivalent to the short-
9 term traveler to Africa.

10 (Slide)

11 Now, the question to the Board is how
12 do we use atovaquone/proguanil, if at all. And I
13 would suggest that there are three gradations
14 generally that the Board could make. Either "this
15 is a good thing to have, but we don't need to be
16 using it currently because mefloquine daily and
17 doxycycline daily work"; "atovaquone/proguanil
18 could be used in certain niches where a well-
19 tolerated, highly effective drug is particularly
20 important, such as aircrew or special operations";
21 or "it could be formally entered as a third option
22 for use along with weekly mefloquine or daily
23 doxycycline". Thank you.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 DR. OSTROFF: Thanks very much. Let me
2 ask if there are any questions before we move on to
3 the next presentation.

4 LtCOL. GIBSON: I'd just kind of
5 comment on the aircrew issue, has there been
6 research on that guideline for aircrew members?

7 COL. SHANKS: It has not been formally
8 cleared, so it could not be used today. However, I
9 think just because my powers of persuasion with the
10 Air Force have been insufficient doesn't mean that
11 at some point it won't eventually be cleared. Its
12 side-effect profile was really a success that it
13 was very likely to be well-tolerated in them.

14 LtCOL. GIBSON: Thank you.

15 DR. OSTROFF: Thank you. Let's move on
16 to the next presentation. We have Dr. Monica
17 Parise, from the Division of Parasitic Diseases at
18 CDC, who is going to be talking about evidence-
19 based review of malaria chemoprophylactic drugs.

20 CAPT. PARISE: Thank you to the AFEB
21 and to especially Rick Riddle and his office for
22 inviting me and helping me to get here.

23 DR. OSTROFF: Before you go on, let me

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 point out that your slides are at Tab 7.

2 (Slide)

3 CAPT. PARISE: CDC malaria prophylaxis
4 recommendations are made by the Malaria
5 Epidemiology Branch in our Division of Parasitic
6 Diseases with input from the Division of Global
7 Migration and Quarantine, both in the National
8 Center for Infectious Diseases.

9 Informally, we have sought external
10 input on our recommendations, for example, through
11 the American Society of Tropical Medicine and
12 Hygiene, through comments that we've gotten from
13 providers along the way, but we held an expert
14 motion on Malaria Chemoprophylaxis at CDC in
15 January of this year as a way to have a more formal
16 mechanism to elicit expert opinion on some of our
17 malaria prevention specifically prophylaxis
18 policies and recommendations.

19 There was DODO representation at that
20 meeting. Allen MacGill, Cameron Richie and Phil
21 Coyne were there.

22 (Slide)

23 In preparation for that meeting, we

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 performed an extensive literature review and
2 created evidence-based documents on the six drugs
3 that we recommend for malaria prevention. I'll get
4 to those six shortly. Each of those documents are
5 fairly extensive, probably ranging from 10 to 40 or
6 50 pages and are highly referenced. And then we
7 took those documents and summarized the main points
8 in two- to three-page guideline documents. And in
9 those shorter documents, basically we pulled out
10 points that we saw as potentially unclear or
11 controversial from the literature or in discussions
12 we've had with travel medicine providers, and
13 raised them specifically as discussion points at
14 the meeting to ask the experts about.

15 (Slide)

16 The documents that we put together
17 covered a variety of components on the drugs that I
18 will list in the next couple of slides, on the
19 recommended dosing, on the efficacy as well as the
20 effectiveness, and listed state from studies on
21 efficacy and effectiveness in a table format. The
22 documents covered pharmacokinetics, adherence data
23 if there is data available, safety information also

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 listed in table format, and looked at both severe
2 as well as mild/moderate adverse reactions.

3 (Slide)

4 Other components covered were
5 contraindications, data on safety with regard to
6 duration of use, therapeutic index and overdosage,
7 drug interactions, use in special populations
8 including children, pregnant women, or people with
9 pre-existing medical conditions such as renal or
10 hepatic disease, for example, and cost.

11 (Slide)

12 The next three slides cover the topics
13 that were discussed at this two-day meeting, and
14 basically the bottom line was we wanted to get
15 input on our current recommendations for malaria
16 chemoprophylaxis for civilians, which are that in
17 areas where there is only chloroquine-sensitive
18 Plasmodium falciparum, that chloroquine is the drug
19 of choice with hydroxy chloroquine as an
20 alternative, and that there are three options for
21 prophylaxis in areas where there has also been
22 reported chloroquine-resistant Plasmodium
23 falciparum, which includes mefloquine, doxycycline,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 and atovaquone/proguanil.

2 (Slide)

3 And then we covered in individual
4 sessions the six drugs as listed here that we
5 recommend for prevention. Basically, prior to
6 going into this meeting, primaquine was basically
7 for prevention use for terminal prophylaxis, as
8 we've been discussing its use this morning.

9 (Slide)

10 We had a few additional sessions to
11 elicit input on these topics that were structured a
12 little less formally, specifically on our health
13 communications and on self-treatment issues and
14 people traveling to very low-risk areas.

15 (Slide)

16 I'm going to give you just an example
17 of the points that were raised, for example, in one
18 of these sessions so that you can see what some of
19 the sorts of questions we asked the experts were.
20 Under mefloquine dosing, for example, how long
21 should that be started before travel; is one to two
22 weeks adequate; should CDC be recommending a
23 loading dose regimen for mefloquine, which we have

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 not been recommending to-date.

2 Another issue that we've been asked
3 about a lot because there have been problems with
4 mefloquine tolerance are can a split-dose, a twice-
5 weekly regimen be an alternative? A lot of focus
6 on mefloquine adverse drug reactions. How can CDC
7 better communicate these to the public? What about
8 the long-term neuropsychiatric adverse drug
9 reactions that have been reported? What do the
10 experts think of that? There's been some note in
11 the literature that women may tolerate this drug
12 less well than men, should we be communicating that
13 to people? Is there data in the literature that
14 people should avoid alcohol while on mefloquine?
15 And what about a need to monitor people that are on
16 mefloquine long-term with tests, such as liver
17 function tests or ophthalmologic exams?

18 We raise these questions because as we
19 look at the literature and drug labels, they are
20 there, and some of these recommendations come out,
21 and is there an evidence base for that?

22 (Slide)

23 Another big question, we don't

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 recommend people use mefloquine if they have a
2 history of seizures, but when you talk about
3 pediatric use, febrile seizures are common, and can
4 mefloquine be used in that subcategory?

5 What about precautions in people with
6 cardiovascular disease and specific cardiovascular
7 conditions? What about use in pilots and divers?
8 And then there has been data in the literature on
9 concerns over use in pregnancy, with possible
10 reports of an increased rate of spontaneous
11 abortions and stillbirths, and what do the experts
12 think about that?

13 We gave out -- and needless to say
14 there's a lot of issues here -- we gave out packets
15 with all these documents about a month before the
16 meeting so that people could prepare themselves
17 before coming.

18 (Slide)

19 I can't really give a summary on all
20 these six drugs in the next half-hour, so basically
21 I've chosen to sort of focus on sort of the bottom
22 line that came out of the meeting, and then on some
23 of the answers we got to some of the discussion

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 points that were raised, if there were specific
2 questions on the data related to Malarone or
3 anything else, I'm happy to answer questions
4 afterward.

5 So, here is the bottom line. Basically
6 our use of chloroquine in areas where chloroquine-
7 sensitive falciparum was confirmed, there is much
8 less data on hydroxychloroquine, so it's seen
9 basically as clearly a second-line alternative, but
10 an acceptable one if there are situations when it
11 is the best drug -- for example, someone already on
12 it.

13 In areas with chloroquine-resistant
14 falciparum, it was recommended that we not have a
15 drug of choice, that to avoid the perception that
16 we preferred certain drugs over others, that they
17 be listed alphabetically by generic name in our
18 health communications material, and also that
19 primaquine -- now this would be in a primary
20 prophylaxis for primaquine as opposed to using it
21 in a terminal prophylaxis mode for the last two
22 weeks, this would be used during your whole trip --
23 and that was recommended to be added as a second-

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 line agent at 30 mg a day as a choice for
2 prophylaxis for people traveling to areas with
3 chloroquine-resistant falciparum. Second-line
4 mainly because of concerns about G6PD and the need
5 for civilian providers to be testing for G6PD
6 before sending travelers on this, and concerns that
7 that might not always happen and we could have
8 problems.

9 (Slide)

10 We had proposed the elimination of
11 this, but we actually had done it and we asked the
12 experts what do they think about this, and it was
13 affirmed that because we have three effective drugs
14 for areas with chloroquine-resistant falciparum to
15 eliminate chloroquine/proguanil as a recommended
16 option.

17 Other recommendations we were given was
18 to be more explicit in our recommendations that in
19 areas with chloroquine-sensitive falciparum, if you
20 can't take chloroquine or hydroxychloroquine, you
21 still should use one of the other drugs that are
22 used in resistant areas.

23 To add a specific statement for people

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 that are -- I mean, there are increasing public and
2 providers that are concerned about the safety of
3 these drugs, and if there are concerns about that,
4 to start even earlier, such as three to four weeks
5 before travel.

6 And then to add specific warnings, for
7 example, to the Yellow Book, to avoid purchase of
8 basically chemoprophylactic or treatment drugs
9 overseas, if possible, especially prophylaxis you
10 have more control because they may be of suboptimal
11 quality.

12 (Slide)

13 It was recommended that we disseminate
14 more information on adverse drug reactions. We
15 also held -- as part of a larger risk communication
16 strategy related to mefloquine, we held focus
17 groups with a number of different kinds of
18 travelers before this meeting. And basically what
19 we heard both from the experts at the meeting and
20 in the focus groups is that people want more
21 information to be able to make with their provider
22 a more informed decision. At the meeting it was
23 recommended we try to better lay out advantages and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 disadvantages of the various options in a table,
2 and to try to give people rates of adverse
3 reactions, which sounds simple but given the
4 different methodologies in the studies, it is not
5 all that easy, and to also provide discontinuation
6 of the drug due to adverse reaction. And,
7 basically, the last point overall was to -- there
8 are a few points that we really need to get across
9 better, such as the risk of malaria, that it can
10 kill you and that we, for this disease, do have
11 prevention strategies that work, inducing a drug.

12 (Slide)

13 So now I'm going to basically go
14 through some of the points on each of the drugs
15 that came up. This is really icing on the cake, and
16 some of these are really not things that come up
17 commonly, but if you're dealing with provision of
18 malaria prevention advice to the 27 million
19 civilians that go to malarious areas every year,
20 these points come up.

21 As I mentioned, chloroquine over
22 hydroxychloroquine was based on a review of the
23 literature that -- because some bodies do recommend

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 this -- that eye exams are not needed even for
2 long-term malaria prophylaxis. Even though there
3 was no data, the experts recommended that we do
4 leave in the option that we've had, that twice-
5 weekly dosing with chloroquine if people would like
6 to do that for tolerance reasons is okay; that no
7 G6PD screening is needed prior to use with this
8 drug. The reason for this is that there is some
9 data in the literature -- not a lot of it -- that
10 there can be hemolysis after chloroquine use. It's
11 fairly weak data.

12 And then there a number of points I'm
13 not going to get into, I mainly just list them on a
14 slide, that we either did not have time to address
15 at the meeting, or that we need to go back to the
16 literature and look at specific aspects to really
17 be able to answer these questions as we finalize
18 these documents and put them out in our health
19 communications.

20 (Slide)

21 Doxycycline -- these again were
22 questions that we asked -- no good evidence that
23 doxycycline interferes with the effectiveness of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 oral contraceptives. We get asked about the use of
2 minocycline for malaria prophylaxis, and basically
3 the recommendation was that there's so much more
4 data on doxy that if at all possible people should
5 be changed to doxycycline. And then a few other
6 issues such as use during breastfeeding and long-
7 term use that still need to be revisited.

8 (Slide)

9 We asked the experts if the dose of
10 primaquine for terminal prophylaxis should be
11 increased -- the usual dose is 15 mg a day for 14
12 days -- whether in certain geographic areas -- for
13 example, in the South Pacific, where there are
14 frequently relapses even after this routine dose --
15 if we should be increasing the recommendation for
16 terminal prophylaxis. This actually sort of
17 surprised me. The experts pretty strongly
18 recommended that what they were doing in their
19 practice was using 30 mg pretty routinely for
20 anybody, so that we've changed this in this year's
21 Yellow Book that just came out.

22 We asked do people have better ideas
23 about who we can recommend get terminal prophylaxis

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 because, basically, the recommendations at this
2 point are fairly vague. People that are in areas
3 with substantial exposure to vivax malaria, and
4 that can depend on the time that you're in that
5 area as well as an estimate of the intensity of
6 vivax transmission in the area, but there's no
7 quantitative recommendations on this, and we really
8 didn't come out of the meeting with anything better
9 quantitative, I'll have to say.

10 In terms of people with G6PD
11 deficiency, as I briefly mentioned before, where it
12 may be considered for radical cure in people with
13 mild G6PD deficiency, it was recommended to be just
14 completely avoided for prophylaxis in persons with
15 any degree of G6PD deficiency.

16 (Slide)

17 Moving on Malarone, the drug has been
18 out, as Dennis mentioned, for about three years
19 now. We looked at the efficacy data and basically
20 the experts agree that there is adequate efficacy
21 data -- as you can imagine, there are less trials
22 with this drug than for drugs that have been out
23 for the last 20 years -- to be a first line in non-

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 immune persons as well as that its efficacy for
2 Plasmodium vivax, even though it's more limited, is
3 sufficient to recommend this drug for use in areas
4 with substantial vivax transmission.

5 As Dennis also mentioned, there is some
6 evidence that this drug may not prevent the
7 establishment of hypnozoites, and so for people who
8 would get terminal prophylaxis with primaquine,
9 they should still get that if they are on a
10 Malarone regimen.

11 (Slide)

12 Mefloquine -- as you can imagine, this
13 took up fairly lengthy discussions, and one of the
14 comments we got from providers out there is this is
15 getting harder and harder to prescribe. We asked
16 the panel what did they think about these reports
17 of longlasting neuropsychiatric reactions after
18 mefloquine, and I guess I have to say I don't think
19 we came up with a good recommendation for that, a
20 good idea of what that is. There was a fair amount
21 of discussion that there is neuropsychiatric
22 illness at baseline in a population, how do we
23 separate this out after mefloquine. There's no

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 necessarily biologic explanation that we may have
2 at this point for these longlasting effects, but we
3 really don't know.

4 The group felt that we should include
5 some information at least for people that there are
6 some studies that have indicated some gender
7 differences in ability to tolerate mefloquine, not
8 to say we don't want to recommend this drug for
9 basically half of the population who might use it,
10 women, but just that we should make people aware of
11 that, that up front people should know this drug
12 has a long half-life, and so the adverse drug
13 reactions can last for weeks, for us to be more
14 clear about that.

15 It was felt that it was okay because
16 the pathology in those with febrile seizures is
17 different from those with a seizure disorder, that
18 this drug could be used in those persons.

19 (Slide)

20 The data did not support a
21 precautionary statement planned on the concomitant
22 use of alcohol and mefloquine. Based on the data,
23 it was felt that the data don't support that people

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 who use tests that define fine motor coordination,
2 such as pilots, necessarily need to avoid this drug
3 based on the data, but that it may be prudent to
4 suggest that if they are going to use it to start
5 early.

6 We'll be adding permissive language for
7 using a loading dose, and then, again, various
8 topics that we still need to revisit.

9 (Slide)

10 That moves off the use of the drugs for
11 prevention. Probably the main things that came out
12 of the self-treatment and treatment session was
13 that because sulfadoxine-pyrimethamine resistance
14 is worsening and worsening in various areas of the
15 world now spread to Africa, especially East Africa,
16 that this is really not a drug we should be
17 recommending for non-immunes for self-treatment
18 anymore. And so really what that leaves us with is
19 Malarone for a self-treatment regimen.

20 And they suggested that stronger
21 language go in on avoiding halofantrine because
22 people are often prescribed this, or may be
23 prescribed this overseas and there are concerns

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 about the safety because of cardiac complications.

2 (Slide)

3 So, basically, what are our next steps?

4 This has gotten slowed down a bit basically
5 because of details to other diseases that we've had
6 at CDC. We have minutes from the report. Once we
7 edit those somewhat, that will be reviewed by the
8 panel. We will revise the evidence-based
9 documents. We still need, as I mentioned, to go
10 back to some specific areas, probably pull more
11 literature, and then taking that we will revise our
12 overall and drug-specific recommendations and add
13 in the level of evidence for each recommendation,
14 which we haven't done so far but we have all the
15 literature so we can do that, circulate that to the
16 group and have discussion with them, and then have
17 final review at CDC, and then basically once we're
18 happy with those recommendations, update all of our
19 health communications materials, such as Yellow
20 Book, our Website, brochures for travelers with
21 those.

22 So that we can best disseminate this,
23 we also plan peer review publication of these

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 documents as well as possible publication in the
2 MMWR. And that's really all I have to say. I'd be
3 happy to answer questions.

4 DR. OSTROFF: Thank you very much. Why
5 don't we take a couple questions first, and then we
6 have another presentation from Col. DeFraitess.

7 COL. ENGLER: I have a question on
8 mefloquine in regards to (inaudible). Have any
9 studies been done (inaudible) a person feels okay,
10 it doesn't affect their function, and now much more
11 sophisticated data suggests a long impairment of
12 performance to drive a car even when the person
13 thinks they are unimpaired. Is there any data with
14 mefloquine (inaudible words).

15 CAPT. PARISE: There's not data that's
16 looked at people who have been on it long-term, but
17 there have been fairly sophisticated testing both
18 in flight simulators and in driving situations
19 where it's been looked at. And it really hasn't
20 come out that it's impaired coordination. One
21 caveat to that is that in one of the studies, a
22 person who didn't tolerate mefloquine was taken
23 out, so we don't know everything there is to know

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 about people that didn't tolerate it, how well they
2 would have been, so you would have to stop it. So
3 that's where we are now.

4 DR. OSTROFF: Dr. Blazer.

5 DR. BLAZER: Can you give a little more
6 detail about the neuropsychiatric problems, and
7 specifically in the long-term?

8 CAPT. PARISE: Well, basically, in
9 short -- not the long-term -- but we think that the
10 severe reactions are pretty rare. We estimate that
11 they are at about a rate of 1 in 10,000, although
12 that varies depending on the methodology of the
13 study, from 1 in 200 or so up to 1 in 10,000 of
14 seizures or major psychiatric problems.

15 And then there are a host of other more
16 acute less severe neuropsychiatric issues that
17 occur short-term, such as insomnia, strange dreams,
18 fatigue, lack of energy, inability to concentrate,
19 and some people have reported that those effects
20 have lasted a very long time. Now, the half-life
21 of the drug is three weeks, so it can take three,
22 four or five months to really wash the drug out of
23 your system if you are at steady state, but some of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 the reports have been after that, months later to
2 years later, up to ten years later. I've heard
3 cases that this has just ruined people's lives. I
4 don't if anybody -- I had heard that there may be
5 some data in DOD about how some of the studies that
6 might shed light on that, but I've not seen
7 anything in terms of effect on the brain. But I
8 don't really think we have a good explanation of
9 what that is. I mean, as I mentioned, at the
10 meeting there was discussion -- and we did have a
11 psychiatrist there -- of, well, are people
12 susceptible, are they susceptible to these problems
13 and this drug has brought that out? But I really
14 don't think we understand it.

15 DR. BLAZER:

16 CAPT. PARISE: Yes. If you look at the
17 neuropsychiatric effects and compare, say,
18 mefloquine to chloroquine proguanil, and these will
19 be just in short-term studies. Yes, they
20 definitely are higher.

21 DR. OSTROFF: Dr. Haywood.

22 DR. HAYWOOD: One of your
23 recommendations is to provide data on rates of mild

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 and moderate adverse reactions. What database are
2 you going to use?

3 CAPT. PARISE: We'll take these
4 evidence-based documents that we've created that
5 I've mentioned -- I mean, for mefloquine it's
6 probably about 40 or 50 pages long, and there are -
7 - I mean, it's unbelievable how many studies there
8 have been on mefloquine with placebo or comparater.

9 So we would have take that and we'll have to
10 summarize that in a way that's understandable to
11 even the public.

12 One of the recommendations we got at
13 the meeting -- because you will get different rates
14 if you look at trial, for example, versus an
15 observational study -- was to really focus on
16 randomized controlled trials as providing the best
17 level of evidence. So we'll definitely show that,
18 you know, show what comes out of the trials, and
19 then possibly have some information that's come out
20 of observational studies as well. Certainly in the
21 very technical documents that will be posted on the
22 Website that will be available will have all the
23 data there, but those will be geared at a fairly

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 high level of healthcare providers, and we have to
2 also get something that the public can understand,
3 or providers who don't deal with this every day can
4 understand.

5 COL. BRADSHAW: Dana Bradshaw. I don't
6 know if Alan Magill is here or not, but he gives a
7 very good comprehensive lecture of the review of
8 literature of neuropsychiatric (inaudible), and if
9 you're interested in that I'm sure we can get him
10 to provide it, or we can make it available to the
11 AFEB.

12 DR. OSTROFF: Other comments or
13 questions?

14 (No response.)

15 Why don't we go ahead to the next
16 presentation, which is Col. DeFraitess, and then
17 we'll open it up for discussion.

18 COL. DEFRAITES: Thank you. The
19 objective of this presentation is to give sort of a
20 military spin on some of the considerations that go
21 into decisionmaking in terms of malaria prophylaxis
22 for U.S. military. I want to acknowledge up front
23 that I collaborated for this presentation with Col.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Dennis Shanks and also with Capt. Kevin Hanson, in
2 the back, and this presentation was originally
3 supposed to be given by Dr. Magill, but because he
4 had this excuse that he was in Kuwait until last
5 week that I was nominated to give the talk, but we
6 got a chance to chat before I went and gave his
7 material. Let's go to the next slide.

8 (Slide)

9 This in a nutshell are the major
10 factors that go into decisionmaking, so I'm
11 finished. Just read the slide and that's all we
12 need to know. But I broke them down into three
13 areas having to do with the parasite itself, having
14 to do with, sort of broadly written, as the
15 environment of the host, so some soldier factors
16 and some military situational factors, and then,
17 finally, those factors related strictly to the
18 medication. So I'll go into each one of these.

19 (Slide)

20 First of all, in terms of the parasite,
21 in all situations the U.S. military is a non-immune
22 -- from a malaria perspective -- a non-immune
23 population, so we're always inserting this non-

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 immune population into malarious areas. And the
2 situation of malaria may vary depending on what's
3 going on. We could be in an endemic area of
4 malaria that's not experiencing a particular
5 outbreak, or may insert into an epidemic situation
6 where we have large numbers of non-immune similar
7 to ourselves flooding an area, and also with
8 unstable or very favorable environmental conditions
9 for epidemic malaria with mosquito breeding and a
10 large number of exposed infected persons in the
11 area that our troops are co-located with. These
12 are factors that can really change or affect how
13 seriously, first of all, we take the malaria threat
14 in terms of assessing the risk and, secondly, what
15 other considerations we may take in terms of
16 prophylaxis.

17 (Slide)

18 Secondly, of course, in terms of the
19 parasite is what type of malaria is found in this
20 area, and to that degree we depend a lot on our
21 risk assessment, the Armed Forces Medical
22 Intelligence Center, and a lot of the information
23 that we can get from the existing sources in the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 countries or the areas where we're going. From our
2 perspective -- and it's germane for the discussion
3 this morning, and certainly from the overall large
4 military problem if you look back over the last ten
5 years, most of our cases have been relapsing
6 malaria, about 80 percent of the total burden in
7 the military. And for the most part, these cases
8 can be traced to failure of compliance with
9 medication.

10 (Slide)

11 Though I will tell you in the case of
12 the Army in Somalia in 1993, it was a case of not
13 assessing the threat -- and I'll get into that and
14 some of the characteristics of the environment
15 later on -- but in terms of assessing a particular
16 threat in a particular focal area of a country.

17 Certainly, falciparum malaria is the
18 greatest threat to life, that's certainly the life-
19 threatening form of malaria for the most part, the
20 issue of drug resistance and the geographical
21 spread of resistance is important.

22 Treatment drugs, when we talk about if
23 we don't do prophylaxis right we have to resort to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 treatment, and in case of falciparum you need to
2 treat correctly, you need to treat promptly, and
3 you need to treat completely. And so the treatment
4 arm having to depend on a deployed military to
5 employ prompt treatment sometimes is mistaken, or
6 it can complicate our plans. And certainly the
7 complications of falciparum malaria as I alluded to
8 with the life-threatening complications of cerebral
9 malaria, renal failure, et cetera.

10 I will say even though relapsing
11 malaria is our most common from the numbers
12 perspective, we have had at least one death of a
13 soldier who had falciparum malaria -- he actually
14 died from a pulmonary embolism -- he had falciparum
15 malaria at the time. He had just traveled from
16 Africa to Ascension Island and then on to Puerto
17 Rico, and it just completed along the airplane
18 flight, however, he did have falciparum malaria.

19 We had at the same time falciparum that
20 was acquired also in West Africa, and the soldier
21 experienced cerebral malaria and renal failure,
22 however, he recovered. That was a year and a half
23 ago. In the current situation in Iraq and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 Afghanistan, the cases that we're aware of have all
2 been vivax malaria when speciated.

3 (Slide)

4 Next, I want to get into some of the
5 environmental issues, and clearly the type of
6 military mission varies quite a bit, and I have here
7 the spectrum from combat going through peace-
8 keeping and humanitarian assistance type
9 operations, to the type of repeated insertion and
10 extraction from a malarious area that might be
11 experienced, for example, by a Marine expeditionary
12 force that's on a deployment around the world that
13 lasts nine months, and during that nine months they
14 may go to Thailand for several weeks, then they may
15 get back on the ships and go to South America, go
16 to Africa, go to Persian Gulf, go home, and so they
17 go in and out of malarious areas. And that's when
18 you're considering your prophylaxis approach, you
19 need to consider this type of repeated insertions
20 and extractions.

21 The point of bringing up the spectrum
22 of military operations brings to mind the idea that
23 commanders, unit commanders not the medical --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 well, medical folks, too, but unit commanders in
2 particular have a lot of risks to the soldiers and
3 to the mission that they need to be balancing the
4 entire time. And so when you're in combat, there's
5 a different set of risks than there are, for
6 example, in a peace-keeping or humanitarian
7 assistance, or an uninterrupted long-term
8 occupation. And so the perception of the risk of
9 malaria in balance with these other risks is one
10 important consideration that we need to make when
11 talking about protecting soldiers against malaria.

12 (Slide)

13 The military population itself. We're
14 not a homogeneous population, the troops vary
15 greatly in the need for and response for
16 prophylaxis. We have I think, from an operational
17 perspective, probably the best situation is a
18 cohesive unit that's under discipline, that has a
19 single leader, that are present for duty every day
20 at the same location and that there's constant
21 communication and discipline is good.

22 We have another type of unit that might
23 be these more combat support units, like the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 transportation units, the truck drivers, the
2 logisticians of supply, signal units and whatnot,
3 that might be scattered with small detachments of
4 five or six, or two soldiers that their commanders
5 is a couple hundred miles away and they are on a
6 detachment, that might be strung along a road or at
7 a distant base.

8 We have with our combat engineer units
9 construction engineers building roads as part of
10 humanitarian assistance operations. They may have
11 small detachments that might be in very remote
12 locations under intense pressure from malaria and
13 other vector-borne diseases that might be remotely
14 located.

15 And, finally, a characteristic of the
16 military population are the older, more experienced
17 troops that often believe themselves to be above,
18 that they are tough or they are immune or they
19 don't need to take this stuff, or they've never
20 gotten malaria before so they don't need to take it
21 now.

22 And, finally, not to -- well, the
23 aviators in the room know exactly what I'm talking

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 about. In terms of attitude, No. 1, but secondly,
2 also because of aviation medicine concerns about
3 their high performance and that they are very
4 special people, we know, and so they deserve
5 special consideration in terms of malaria
6 prophylaxis.

7 (Slide)

8 The command climate, as I already
9 alluded to, certainly for us in the military this
10 is probably the key to success or failure of
11 malaria prophylaxis, and that is the command
12 climate, and I talk about attitude, awareness, and
13 training. Attitude in terms of -- again, it gets
14 back to these experienced soldiers and their
15 attitude toward their susceptibility to malaria --
16 certainly a leadership/command emphasis is crucial
17 to achieving compliance. And many of us in this
18 room have had personal experience where leadership
19 has been there and leadership has not been there
20 and we've seen the consequences of both.

21 The awareness, and this gets to the
22 risk communication to the troops and their
23 commanders of do they perceive the threat. And,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 again, balanced against these other threats, as I
2 mentioned -- the spectrum of military operations
3 from combat to occupation to humanitarian
4 assistance -- how do they judge that threat in
5 balance to other threats to the troops.

6 And training is have they actually ever
7 done this before? Have they actually ever taken
8 chemoprophylaxis. The other thing I'm not going to
9 talk about too much, but certainly is part of our
10 protective posture toward vector-borne diseases, is
11 the use is the use of repellents, bednetting, and
12 other barriers to biting mosquitos. I may get to
13 it a little bit later, but I just wanted to mention
14 that. That's another key armamentarium in addition
15 to chemoprophylactic drug, but since we're talking
16 about chemoprophylaxis, I'm not going to say
17 anything more about that.

18 U.S. Geological Survey.

19 (Slide)

20 The duration of exposure also varies
21 greatly, and that's another consideration that we
22 have. I f you're going into an area, certainly the
23 risk is cumulative the longer you stay, in general,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 you know, the greater the risk. And also there is
2 some data on compliance rates in military units,
3 not necessarily U.S. military, but certainly
4 there's a fairly extensive literature of other
5 military, worldwide militaries and their
6 compliance. And it looks like the longer you stay
7 and are exposed to malaria -- and, again, maybe
8 this is part of the risk diminishing with time --
9 but with daily medications that were studied, in
10 one study after five months the compliance had
11 dropped to about 40 percent taking the daily med.
12 I couldn't find any specific data -- somebody in
13 the room may have it -- on compliance with weekly
14 medication.

15 Certainly long deployments, the longer
16 the deployment if you have seasonal transmission of
17 malaria, you may go from a low-transmission season
18 to a high-transmission season, but the longer you
19 stay the more likely you're going to bump across
20 the high-transmission season.

21 (Slide)

22 And talking about seasonality,
23 certainly one of the problems I alluded to before

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 in terms of assessing the threat is we have the
2 additional complication of the seasonality which
3 varies by geography. Even in Africa, malaria is
4 seasonal. You can have your guard lowered by going
5 into an area at low season, not seeing any cases,
6 and then not realizing at what point it goes from
7 low-risk to high-risk.

8 I mentioned the highest transmission
9 season and the rainy season. Again, the troops can
10 be lulled into a sense of complacency if they don't
11 see people getting sick.

12 Then the other problem -- especially
13 this was true in Somalia -- is that the
14 geographical distribution of malaria is focal and
15 local -- that is, it can change with various
16 locations and the type of malaria can be very
17 specific to a particular subregion that your
18 intelligence might not be finely tuned with enough
19 resolution to give you that specific threat
20 information. And, also, the mission changes and
21 troops that thought they were going in one location
22 then get diverted someplace else, and you really
23 need to reconsider your malaria prophylaxis for the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 entire force.

2 (Slide)

3 In terms of -- again, this is another
4 host factor, but it gets to sort of a cross-over
5 with the medication issue -- and that is the
6 troops' perception. It gets to this attitude of
7 the command and also the soldiers, but in general
8 soldiers, like most adults I guess, don't like to
9 take pills -- especially if they don't feel sick,
10 they don't like taking pills -- and that's not to
11 say they don't take some pills they shouldn't take,
12 but in general they don't like to take pills that
13 we tell them to take -- I want to caveat that.

14 And, also, that's especially true if
15 that pill that you're telling them to take makes
16 them feel ill. And so if that pill makes them feel
17 funny or just does anything to them that's adverse,
18 especially if they're not ill in the first place,
19 compliance is an issue, as you can understand.

20 When I say perception is reality as a
21 subtitle, I mean the third bullet, that the
22 reputation of the medication can achieve legendary,
23 mythic status with troops. And we ran into the same

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 issue with asking troops -- Col. Sanchez I know was
2 in the room before, but he led the study we did of
3 tick-borne diseases and use of personal protective
4 measures by troops going to Fort Chaffey, Arkansas,
5 which is "tick capital" of the United States. They
6 don't call it that, that's my term. They don't
7 advertise themselves as that. But it's very
8 interesting to hear of the types of procedures and
9 practices that the soldiers adopt, and use of
10 sulfur -- even eating matchheads off the kitchen
11 matches with the little tip -- they would eat that
12 because that gave them protective power against
13 ticks, and to powder sulfur in a ring around where
14 they had to lay down on the ground -- well, we
15 didn't think it worked. We looked at that, and we
16 didn't have any evidence that showed that any of
17 that was efficacious.

18 But it's interesting because this will
19 get -- what this gets ingrained is in the culture
20 of the unit, and the same thing is true of the
21 attitude and the reputation of these medications.

22 Troops are also very well-informed.
23 They are on the Internet. They read the newspapers.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 They listen to each other especially, and they are
2 very well aware of adverse publicity of
3 medications. In particular, I guess Lariam is the
4 one -- mefloquine, that is -- that's been on our
5 radar screen recently, and certainly a lot of
6 troops out there are very concerned about that.

7 Finally, the compliance with the
8 terminal prophylaxis -- now I use this term not
9 quite right because I meant to say that part of
10 prophylaxis, all of it, including the blood stage
11 medication that you use as well as primaquine --
12 but that amount of prophylaxis that's given after
13 you depart from the malarious area, there's a big
14 problem with compliance with that part of it
15 because once you remove -- you know, out of sight,
16 out of mind -- you remove the soldier from the
17 malarious area and all of a sudden malaria drops
18 off as one of his concerns. If it was even on
19 there before, it's really dropped low now.

20 Certainly, our experience is that the
21 command emphasis evaporates. If it was there
22 before, it very soon dissipates because commanders
23 have other things on their minds. And I mentioned

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 that the perception of the disease threat wanes,
2 and the side effects from the medication may
3 persist, so it's an uphill battle with some of
4 these medications.

5 (Slide)

6 In terms of the dosing regimen itself -
7 - in other words, for the most part we're talking
8 about daily versus weekly dosing for the most part.

9 Certainly, a short-course medication that we could
10 give one single dose of before exposure would be
11 great, and I guess tafenoquine on the horizon here
12 has the potential for being this sort of "fire and
13 forget" type of medication that would be
14 potentially very useful in our armamentarium.

15 Daily medication, especially
16 unsupervised -- and I mentioned, you know, some of
17 these missions and some of these units that are
18 off, a little detachment all by themselves --
19 expecting soldiers to take a daily medication
20 sometimes is -- well, it can be problematic to
21 remember to take a medication daily. Certainly,
22 you know, use of doxycycline daily -- and I guess
23 Malarone would be a daily medication -- does

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 require supervision for troops to really be
2 compliant.

3 Weekly regimen is generally thought to
4 be -- and a little truth in advertising here -- the
5 data aren't really all that clear. However, I guess
6 the impression that I have, and also a lot of my
7 collaborators on this, is that our experience is
8 that with commanders able to focus on malaria once
9 a week and make a big deal of malaria medicine once
10 a week is doable. Asking them to do that every
11 single day, every day, without fail, is harder to
12 do. But you can make like a "Malaria Monday", or
13 Sunday is the day across the theater, and the
14 commander at the top says "Sunday we're going to
15 take our medicine", and 1st Sergeants and everybody
16 runs around Sunday morning making sure everybody
17 takes their medication -- that can work.

18 Now, it's not exactly always directly
19 observe therapy, but it's one baby step short of
20 that, it's not bad, it's pretty good. However, of
21 course, that emphasis -- as I mentioned, when you
22 leave theater sometimes that emphasis falls off.
23 But in general, a weekly drug is better than a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 daily drug for the military, I think, in general.

2 (Slide)

3 Complexity -- and this is true -- it's
4 a general principle of working with GIs -- and it's
5 the "keep it simple, stupid" principle -- the
6 simpler we can make this understandable and
7 executable, the better it is. And that's why
8 coordinating among the services and among the
9 components on a particular operation, and having an
10 agreement or consensus on a malaria approach is
11 very, very helpful, if you can achieve that. We
12 can't always achieve that because of differing --
13 as I mentioned, some of these differences in
14 subpopulations. Certainly, simpler is better, and
15 a single drug -- again, for simplicity purposes --
16 is better than two.

17 Again, a medication administered before
18 we deploy would be best, then we don't have to
19 carry it along and have to worry about any of this.

20 And I mentioned the consistent policy among joint
21 and combined forces is ideal, but not always
22 achievable. And when I say "joint", I mean like
23 the four U.S. services, and coalition and combined

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 is when we bring other countries like are present
2 today with us, or we go with them.

3 (Slide)

4 Of course, and I'll finish here, if we
5 had our dream, I think most of us who deal with
6 malaria in the military in terms of
7 chemoprophylaxis, the ultimate would be a single-
8 dose med or a shot that we could give during basic
9 training that would be 100 percent efficacious and
10 has no adverse effects. So that's all we need. So
11 if the Board would just recommend that, then I
12 think we'd be done, so I would appreciate it. I'll
13 take any questions. Do we have time for questions?

14 DR. OSTROFF: Yes. Thank you, Bob,
15 that was very helpful. I think that's what we'll
16 recommend.

17 COL. DeFRAITES: Okay, thank you.

18 (Laughter.)

19 DR. OSTROFF: Can I ask one thing, in
20 looking through the briefing (inaudible words).

21 COL. DeFRAITES: Ken, do you want to
22 start?

23 CAPT. SCHOR: I'll jump in here

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 (inaudible). Those decisions are usually left at
2 the task force level (inaudible). Some might
3 disagree that the need for a consistent policy,
4 that theater-level policy would be helpful, there
5 are a lot of differences between services and
6 differences are okay, unless there's some huge
7 difference in outcome, i.e., in treating malaria.
8 For instance, in CENTCOM -- (inaudible) the Marines
9 there is using not mefloquine, they are using
10 doxycycline. I was a little surprised at that at
11 first, but remember that they are thinking about
12 they were in the river valleys (inaudible), and
13 there was a BW threat that doxycycline kind of
14 nicely helped mitigate, too, (inaudible). And, oh,
15 by the way, malaria season is not always in
16 (inaudible). Now, obviously, compliance is going
17 to be an interesting feature. I think it's clear
18 that the drug seemed to work pretty doggone well,
19 but we'll see what compliance and outcome show with
20 time. I think it's a bit early at this time based
21 on the type of malaria.

22 So I think one of the most difficult
23 issues here is that it's very difficult to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 prescribe malaria medications and regimens one-on-
2 one in the tropical medicine study. It's really
3 hard for all those reasons to try to put together
4 task force level recommendations -- in fact, that's
5 why I went into preventive medicine, trying to do
6 that sort of stuff, because the risks are very
7 differential. And there's a discussion
8 (inaudible), but the issue of travelers from non-
9 endemic countries in the CENTCOM RAR to an endemic
10 area when -- it may be a day, two days, three days,
11 four days -- when do they start taking malaria
12 prophylaxis. So these are very difficult questions,
13 and we're trying to risk stratify to the extent
14 possible, we always try to simplify and take it
15 down to the battalion and squadron level if there
16 is a (inaudible) mission.

17 (Technical malfunctions prevented
18 adequate recording of discussion.)

19 COL. DeFRAITES: I just wanted to say,
20 just for Operation Enduring Freedom, most of the
21 operations -- not all of them, but most of the
22 operations that you've read about -- have been in
23 the CENTCOM RAR, CENTCOM Regional Area

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 Responsibility. CENTCOM has published sort of an
2 overarching general policy about those countries
3 where prophylaxis is indicated, but leave it really
4 up to the component services to make additional
5 policy.

6 As Ken mentioned, the Marines in Iraq
7 were using doxycycline, and that actually was a
8 decision at the level of what's called the
9 Coalition Forces Land Component Commander, which
10 combines the British Army, British -- all the land
11 forces -- British, Marine, U.S. Army, and that
12 doxycycline prophylaxis policy was made at that
13 level. CENTCOM Surgeon basically deferred to the
14 land commander in CJTF180, which is mostly the
15 Afghanistan/Pakistan area, the prophylaxis
16 procedure TF180 is mefloquine for the most part.
17 Again, it's hard, going back and looking at the
18 decisionmaking process, it's difficult to see what
19 the difference is between those two areas.

20 I'll tell you, there was a lot of
21 discussion about Iraq and how much chloroquine
22 resistance there may or may not be because if you
23 look at the Yellow Book and CDC recommendations, it

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 recommends chloroquine with primaquine because
2 there's a lot of relapsing malaria.

3 So we, from our standpoint, I think
4 fairly -- at least at the Army level, we influenced
5 CENTCOM and the CFLC folks to at least consider the
6 risk of chloroquine resistant malaria. I think
7 these other factors have led to (inaudible)
8 prophylaxis, that's really a different regimen, but
9 anyway, who knows, it may work. I don't know what
10 went into the final decisionmaking.

11 In general, for the Army, we still
12 consider, I think, similar to what CDC put out in
13 terms of the first line drugs, but for chloroquine
14 resistant areas we recommend either mefloquine and
15 usually we favor that because of the reasons -- for
16 the usual troops, again, individual missions need
17 to be tailored individually, but generally we
18 consider mefloquine and doxycycline being sort of
19 co-equals in terms of the drugs of choice for
20 chloroquine resistant malaria.

21 We haven't really haven't addressed --
22 and one of the reasons for asking the Board to look
23 at Malarone is we really haven't factored Malarone

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 -- we don't know where it fits right now in our
2 recommendations, whether it's going to be a co-
3 equal. Certainly, the cost is a big factor for
4 Malarone, but I'll stop there.

5 LtCOL. WOODWARD: LtCol. Woodward for
6 the Air Force. Just by policy, we do mandate
7 (inaudible) done by the local unit or (inaudible),
8 and then after that our policy is to follow
9 (inaudible) recommendations or other guidance on
10 which malarial drug to use -- which pretty much
11 follows what Col. DeFraithe described for the Army.

12 I would like to emphasize and point out
13 (inaudible) aircrew is -- and just so you know, in
14 the Air Force our use of pharmaceuticals for
15 aircrew, the process is that once we have a drug
16 that's approved for use in aircrews, unless there
17 is a compelling reason to entertain approval of new
18 medications for aircrew, industry is not going to
19 pursue that, unless there is a compelling reason to
20 switch from the medications that are approved for
21 aircrew for malaria. Doxycycline is the approved
22 medication. So when you consider a new medication
23 for aircrews, there would have to be a very strong

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 reason to suggest that we substitute (inaudible).
2 Of course, it's nice to have because the testing
3 process is very long and there are not specific
4 tests for all new products in aircrews.

5 LtCOL. GIBSON: I just wanted to add
6 from a Health Affairs standpoint, we put out a
7 letter or memo to the Surgeons last year reminding
8 them to remind their physicians that there are
9 (inaudible) of the entire regimen and (inaudible),
10 and it's a risk-based threat-based decision on
11 which medication is used, and prescribing the
12 medication (inaudible).

13 LtCOL. PETRUCELLI: Bruno Petrucelli.
14 I wanted to comment on (inaudible words) Col.
15 Woodward pointed out the process is really
16 determinative more than anything else (inaudible
17 words) almost 15 years ago for a licensed product.

18 And I'm always intrigued and even (inaudible
19 words) by the fact that we put so much emphasis on
20 people who fly aircraft or even just fly in them.
21 I want to just remind everyone that virtually every
22 person in uniform carries weapons, and that's a
23 significant fact right there in terms of (inaudible

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 words). And more importantly than that, a lot of
2 people in the military -- Navy, Army, Marines,
3 every service -- control weapons defined weapons of
4 mass destruction as simply weapons that mass
5 destruct or destroy. There are many people who are
6 not pilots or not aircrew who control these systems
7 with very fine ordered skills (inaudible words).

8 DR. OSTROFF: Sharon.

9 CDR. LUDWIG: I want to comment on what
10 happens in the Coast Guard because we have
11 (inaudible) going to Latin America (inaudible
12 words).

13 (Technical malfunctions prevented
14 adequate recording of discussion.)

15 DR. OSTROFF: Let me ask a couple of
16 other questions. One of them is being that we have
17 doxycycline being primarily used as (inaudible
18 words), there's an actual experiment being done.
19 And I'm wondering if anybody is looking at or
20 trying to -- I mean, I realize (inaudible words) to
21 try to compare what the (inaudible words).

22 COL. DeFRAITES: Not formally, but as
23 we -- if you don't get any cases, it's hard to know

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 if you didn't get cases because you aren't exposed.

2 What it comes down to for us is there's not
3 uniform exposure. So a lot of times it's difficult
4 to make these judgments of who was actually exposed
5 in terms of your denominator. That's always been a
6 challenge for us for malaria.

7 So, as I mentioned, the cases in the
8 Rangers yesterday and I think Dennis mentioned it
9 this morning, that's vivax malaria that probably
10 was acquired somewhere in Afghanistan or Pakistan.

11 DR. OSTROFF: I'm not talking about
12 efficacy (inaudible), I'm talking about once
13 they're added to the falciparum (inaudible) who is
14 taking it.

15 COL. DeFRAITES: Oh, no, no one is
16 doing a study of actually looking at compliance
17 rates. No.

18 DR. OSTROFF: The other question that I
19 would have is nobody has really talked about what
20 (inaudible words) how much malaria is actually
21 occurring in military population on the bases. I
22 know we collect at least some data on (inaudible)
23 military populations (inaudible words).

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 COL. DeFRAITES: I'm sorry, was that
2 directed to me? How many cases in the military --

3 DR. OSTROFF: (Inaudible words.)

4 COL. DeFRAITES: I don't have the
5 figures.

6 COL. SHANKS: Twenty or 30 a year has
7 been an average in the Army, almost all vivax,
8 practically all (inaudible words) .

9 CDR. LUDWIG: I have a similar
10 question, and that is we were not aware of data
11 that indicate how many people who are infected with
12 vivax have already -- untreated and non-immune,
13 will have a relapse?

14 COL. SHANKS: I think that one is mine.
15 It depends on how many times you've been --
16 prisoners of war from the Philippines who have
17 relapsed 10 or 15 years if they have been kept
18 under those conditions for a very long time. Our
19 soldiers under more likely infected situations
20 depends on how lucky or unlucky they were, where
21 his unit was and what time of the year. We've seen
22 up to 30 percent rates in company-size units in
23 Somalia. I know that we don't put soldiers into

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 the island of New Guinea very often, but the
2 Australians do, and having a 30 percent attack rate
3 afterwards is bad, but it does happen. I don't
4 know if Dave would like to say anything about vivax
5 after exposure.

6 CDR. FRYVATT: I can't say, Dennis.

7 CDR. LUDWIG: Let me clarify. I'm
8 talking -- I don't you'd be able to tell the answer
9 from the military, and my question to the military,
10 I'm asking of people who are infected and not
11 treated will have a relapse.

12 COL. SHANKS: Depends on where he got
13 it. The (inaudible words) type vivax is famous for
14 (inaudible) multiple times. Korean vivax is famous
15 for coming in a year afterwards, but not terribly
16 frequently thereafter. Probably as we understand
17 these organisms better, there are probably many
18 vivax that have their own (inaudible), but
19 especially for tropical vivax your risk of relapse
20 if you have initial disease is quite high.

21 COL. DeFRAITES: Sharon, I'll need to
22 get back to you, but we did a study of a unit in
23 Somalia in -- that was that unit that I think had

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 30 percent attack rate -- and we did get
2 antibodies, I just can't remember -- I'll have to
3 get back to you on the specifics of whether we
4 found antibodies in soldiers who did not present
5 with clinical illness. That might help clear it
6 up. But that particular company unit was the one,
7 and essentially because the unit did not get
8 primaquine prophylaxis essentially, you know,
9 basically had their blood stage covered with
10 mefloquine, but did not get primaquine prophylaxis.
11 So pretty much that's sort of a marker for what the
12 attack rate for those who came out of Somalia with
13 hypnozoites that then later became manifest was
14 about 30 percent. But we have some antibody data,
15 too, and I'll have to see if we found antibodies in
16 significant number that didn't have disease.
17 Trouble with that approach -- no, it would be okay
18 because -- no, it would be hard to say because we
19 then introduced primaquine, so we may have wiped
20 out some people who would have later become ill, we
21 may have cut it short.

22 Some to think of it, I've talked myself
23 out of it -- I don't think I can help you. Sorry

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 about that.

2 (Laughter.)

3 COL. MAGILL: Alan Magill, from Walter
4 Reed. Just a comment, this looks like some of my
5 most recent experience in the current area of
6 operations. This whole business of risk assessment
7 -- and we went round and round about this
8 (inaudible). If you're going to a conference in
9 Canada, there's no risk, you don't have an issue.
10 If you're going to deploy a force to Central
11 Africa, you know right away that malaria is a clear
12 and present danger, that you really have to
13 institute a full array of personal protections,
14 prophylaxis, et cetera, across the board. Also
15 having the appropriate diagnostics and therapeutics
16 to take care of these issues. So, in a way it's
17 almost easier if we deploy to Central Africa
18 because we know it's a problem and everybody gets
19 onboard.

20 Unfortunately, guys in travel medicine,
21 a lot of our travel is to areas in which there is
22 zero risk or very small risk or maybe occasionally
23 some measurable risk for malaria. I think that's

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 exactly what we've seen in Afghanistan. Your
2 typical person going to (inaudible) for three
3 months it is zero risk. However, a special forces
4 group can go for a couple of weeks in a river
5 valley and have a measurable risk. How do we
6 target for interventions with groups that may need
7 it most.

8 Look at Iraq. If you're in a travel
9 clinic and a tourist who is going to Baghdad for
10 one night or two months, you wouldn't give a
11 prophylaxis because there is zero risk. Probably
12 95 percent of our troops are in Baghdad and for
13 them there's zero risk. You have to have a simple
14 message. So if you go across the (inaudible) in
15 mid Iraq, the current policy is for one night, you
16 get whatever it is, 7 weeks (inaudible words) or 6
17 weeks of doxycycline, and of course this is way
18 over-kill. We're probably giving (inaudible) drug
19 to people who don't need it, certainly don't want
20 it. And I think one of our drivers here is our
21 reluctance to have any case of malaria in the
22 military -- and I agree, we don't want any cases --
23 but we don't have a really good feel for the cost

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 of doing business that way, which is the adverse
2 being associated with these drugs. We don't have a
3 system designed to capture it in-theater, and I
4 frankly don't think we will, but currently with
5 doxycycline, if you give 10,000 people doxycycline,
6 you're going to (inaudible words). They're out in
7 the desert, 110 degrees, not a drop of water in
8 sight, and they're taking a pill for malaria? They
9 understand this very quickly, and compliance goes
10 way, way, way down.

11 So, I don't have a good solution, but
12 it seems like, especially as we shift from a
13 wartime setting to more of a peacetime setting --
14 or in this case something in between -- we might do
15 a little bit better job of targeting our troops
16 that may need these interventions.

17 DR. OSTROFF: Thanks.

18 CDR. FRYVATT: I'll just comment that
19 the people with real-world experience in relapsing
20 malaria, vivax malaria, are the Australian
21 military, and they're the ones that are still so
22 strongly behind (inaudible). One other thing is
23 that the rule of thumb that tropical vivax is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 short-term relapsing is not the rule of thumb, it's
2 a fallacy. You can have very, very late relapsing
3 tropical malaria as well as very early.

4 LtCOL. GIBSON: I just wanted to add to
5 that, you mentioned the side effects of doxy,
6 mefloquine, et cetera. Now we're on it's not
7 without risk itself. It has an adverse event or
8 adverse reaction profile as well that should be
9 taken into consideration in the decision.

10 DR. ATKINS: Has there been head-to-
11 head comparison to Malarone versus doxy because the
12 ones in the slide refer to Malarone versus
13 mefloquine.

14 COL. SHANKS: You didn't do a doxy
15 (inaudible)?

16 CDR. FRYVATT: No.

17 DR. PATRICK: What's the status of (Slide)

18 COL. SHANKS: In advanced Phase 3
19 testing, but realistically could not be licensed
20 within the foreseeable future, meaning the next
21 three or four years.

22 DR. OSTROFF: Can you tell us why not?

23 COL. SHANKS: Not without compromising

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 some confidentiality agreements and giving at least
2 an hour lecture.

3 (Laughter.)

4 COL. VEAZEY: Possible (inaudible),
5 negligible risk inherently and probably not going
6 to (inaudible). It's something that has to be
7 investigated very carefully, and they are just
8 about to begin a six-month dosing trial and the
9 Uniform Services University Health Sciences
10 (inaudible words).

11 DR. OSTROFF: Further comments or
12 questions?

13 CAPT. SCHOR: I would just like to
14 emphasize that I think that the key here is the
15 fact that as good as the Yellow Book is (inaudible
16 words). There are very few decisionmaking tools
17 that are distributed to the users that allow folks
18 that have the experience and the different factors,
19 decisionmaking factors presented (inaudible words),
20 so the practice guideline sort of thing is
21 tremendously needed. (Inaudible words) military
22 medicine kind of needs to get on with, so they need
23 to weigh the different factors. And then have to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 take into account the fact that you get (inaudible
2 words), and so the issue of pre-exposure (inaudible
3 words) you don't have three weeks most of the time,
4 you only have a few days most of the time.

5 The fact that the Board needs to
6 realize that in small theaters of operation there's
7 a lot of movement through that for various and
8 sundry reasons, whether it's logistics, whether
9 it's emergency leave, whether it's press coming in,
10 all of those sorts of things, and it goes beyond
11 just the (inaudible words). There's a huge number
12 of complicating variables so that decisionmaking
13 tools are -- you know, I would strongly support
14 providing them.

15 MR. BLICKLEY: Thanks. Can I ask one
16 other question? There seems to be (inaudible
17 words), what do we know about compliance with some
18 of the other potential prophylaxis (inaudible
19 words) insect repellent and other types of
20 modalities that might also (inaudible words).

21 DR. OSTROFF: I'd have to get the
22 specific figures, but almost every time we've put
23 that on units that have been deployed (inaudible

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 words).

2 (Technical malfunctions prevented
3 adequate recording of discussion.)

4 CAPT. SCHOR: The only other thing I
5 would add is that human nature is a (inaudible
6 words). It's very difficult, if you could get
7 (inaudible) improvements on uniforms, that would be
8 a good thing. The Marine Corps (inaudible words),
9 and nobody thought about treating that with
10 (inaudible words), flea and tick collars are still
11 purchased as a (inaudible) thing, (inaudible words)
12 applications with Deet. Every Marine is given a
13 mosquito net, whether they use it properly is a
14 problem of education. They certainly don't share
15 with anybody (inaudible words) because they are
16 responsible for that piece of gear. So this is a
17 constant challenge, but it's one of the most
18 important things (inaudible).

19 DR. OSTROFF: I assume you wear your
20 dog tags along with your flea and tick collar.

21 CAPT. SCHOR: Maybe we should treat
22 those.

23 DR. OSTROFF: Monica, did you have a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 comment?

2 CAPT. PARISE: This wasn't on the
3 personal protection (inaudible), in response to a
4 question a little while ago, there actually is a
5 study that's not out yet, that did compare
6 (inaudible), that included mefloquine, doxycycline,
7 Malarone and chloroquine proguanil (inaudible
8 words). And what was recommended was Malarone and
9 doxy, although overall in their abstract, it looked
10 like Malarone is just a little better, but
11 (inaudible words). Doxy did very, very well
12 compared to Malarone, is my understanding from
13 that.

14 DR. OSTROFF: Thanks. Are there other
15 questions or comments?

16 MS. EMBREY: In terms of (inaudible),
17 there was a study from the Gulf War -- the first
18 one -- about pesticide use (inaudible words) that
19 prophylaxis may not be the perfect solution
20 (inaudible words).

21 DR. OSTROFF: I think that's a good
22 point. I know certainly in the (inaudible) data
23 we've done a lot of work looking at Deet and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 potential adverse events associated with Deet and
2 (inaudible) in terms of the concentrations that are
3 used in those products which are probably safe
4 materials given to people who use it more than they
5 should. So I think a lot of that is probably
6 (inaudible).

7 (Technical malfunctions prevented
8 adequate recording of discussion.)

9 DR. OSTROFF: Thanks very much. If
10 there are no further comments, let me just ask the
11 members of the Board who may be writing up reports
12 on the questions before us, are they relatively
13 clear on what they are going to be doing in terms
14 of the questions, before we move along?

15 DR. CATTANI: Dr. Cattani. I just have
16 one question. I think it was Monica who mentioned
17 that the cost of two weeks of Lariam was similar to
18 the cost of Malarone, is that correct?

19 COL. SHANKS: That's me, and it --
20 well, again, it depends on where you buy it, but
21 six weeks of Lariam, which is what you're supposed
22 to take for an exposure, and two weeks plus 7 days
23 of atovaquone/proguanil were very carefully -- it

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 cost almost exactly the same in different markets
2 because that was the competition, and most people
3 who go to tropical countries go on vacation for one
4 or two weeks.

5 DR. CATTANI: My other question -- and
6 I don't know if you want to comment on this -- what
7 would you think the tradeoff is vis-a-vis the
8 response or the fear of taking Lariam or mefloquine
9 versus taking prophylaxis? In other words, there's
10 an argument could be made that taking prophylaxis
11 is better, but is that outweighed by compliance
12 issues that if the armed services feel that this is
13 a drug that of course they don't want to take it,
14 does anyone -- I realize it's speculation, but I
15 think it's an issue that's going to have to be
16 addressed (inaudible words).

17 COL. DeFRAITES: When we use mefloquine
18 -- this is the Army -- in deployments, really don't
19 -- have not gotten the impression that there's been
20 noncompliance in-theater, it's been generally once
21 the commanders are onboard, "This is what you're
22 going to do", and they do it. This has worked very
23 well, we felt we got good compliance in Somalia,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 not having gotten reports from Afghanistan that
2 troops were refusing to take it or anything like
3 that. Really, I think it brings in terms of risk
4 communication a challenge more than fear that
5 people will throw the pill away on a large scale,
6 one drug versus another.

7 And, again, it tells a little story
8 about how individual soldiers' experiences can
9 become legendary, the same thing is true of
10 doxycycline, as many of these medicines, that he
11 took it and had all sorts of upper GI problems, and
12 learned when not to take it or when to take it
13 (inaudible words), who had the same thing -- effect
14 occurs (inaudible words) widespread views of drug
15 testing side effects (inaudible words). So I would
16 say that it would favor something that you can
17 (inaudible words).

18 (Technical malfunctions prevented
19 adequate recording of discussion.)

20 DR. ATKINS: I guess I'm feeling I
21 don't have enough information to make a decision on
22 Malarone because I think the (inaudible) are very
23 (inaudible) with doxycycline, and it sounds like

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 there's some information that may be becoming
2 available, and I don't know if it's possible to
3 recommend that we collect more information on it in
4 terms of (inaudible words).

5 COL. SHANKS: It's a lot less fragile.
6 If you take your doxycycline every day, it's
7 compliance will be very similar to Malarone.
8 However, if you miss a day on Malarone it probably
9 doesn't make much difference. With doxycycline,
10 your efficacy rate is approximately equal to your
11 compliance rate. Now, obviously, we don't like to
12 build in things for noncompliance, but as you can
13 tell from the discussion around the table
14 noncompliance is a factor, and it's one way to deal
15 with it.

16 There's also a demand for it,
17 particularly from (inaudible) and other high-
18 ranking officers who know that that's what travel
19 clinics give. Now, that's not a reason for the
20 Board to weigh its decision one way or another, but
21 it is a question that comes up.

22 COL. MAGILL: If I could just add one
23 comment to Dennis' there, I think one of the big

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 advantages of Malarone is that it's a causal
2 prophylactic if you take it for seven days after
3 your last exposure whereas doxycycline is a
4 suppressant prophylaxis and you are supposed to
5 take it for 28 days after your last exposure. And
6 this is the area at greatest risk for
7 noncompliance. For a healthy person who does not
8 perceive himself at risk, nobody is going to take
9 28 days. Somebody in that same setting for 7 days,
10 he might take 3 or 4, maybe even 7, so there is a
11 distinct advantage there. I think the initiative
12 to reach the civilian market has moved very
13 strongly towards Malarone is your basic -- you
14 know, short-term their focus is on numbers. I
15 think many of us see that as the niche also for
16 Malarone in the military -- relatively small
17 numbers, a few hundred numbers deploying -- say, a
18 special forces group training in West Africa for
19 two weeks and then leave the area -- so small
20 numbers, short duration, Malarone is clearly as
21 effective, probably as cost-effective as well. For
22 a few hundred thousand troops in Iraq (inaudible
23 words).

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 DR. OSTROFF: Thanks. What I'd like to
2 do is, if it's agreeable to the Board -- we're a
3 bit ahead of schedule, which is never a bad thing,
4 particularly when we get to the Preventive Medicine
5 updates where we tend to bog down a little bit --
6 is that we go ahead and have one or two of the
7 Preventive Medicine updates before we break for
8 lunch, since we do have the award ceremony in the
9 afternoon which is likely to disrupt things, and
10 that way we can see how the updates go, and try to
11 get through those quickly. And why don't we start
12 with Col. Gibson, who will give us the update from
13 Health Affairs.

14 LtCOL. GIBSON: Thank you. I was
15 looking forward to doing this at 1:00 o'clock.
16 Instead, thanks to the efficiency of Dr. Ostroff
17 and Dr. Riddle, I get a group that's not only
18 awake, but hungry. So, thank you.

19 (Slide)

20 Since the last meeting of the AFEB, the
21 world has seen the emergence of a new pathogen that
22 has had a global impact, to say the least, and
23 we've also seen an unprecedented effort, Preventive

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Medicine Public Health and clinical effort, to
2 characterize the disease, write the genomic code
3 for it, develop diagnostic tests, and implement
4 control measures, public health control measures
5 across the globe.

6 While CDC has had the lead on this in
7 the United States and arguably in the world, the
8 Department of Defense took several actions to be
9 proactive in helping to control this within our
10 population. Very shortly after the outbreak was
11 recognized, there were a series of policy
12 documents, situational reports throughout the
13 Department of Defense, to increase the situational
14 awareness, to ramp up surveillance, and to provide
15 information, risk communication information across
16 MHS. We also did situational reports on a daily
17 basis for the Secretary of Defense on this, those
18 continue to this day, although as of last week we
19 backed off to -- as of this week we backed off to
20 three times a week rather than a daily situation
21 report.

22 We coordinated very, very closely with
23 Department of Health and Human Services and CDC,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 put a liaison, a DOD Liaison in the Emergency
2 Center at CDC so we could have the most up-to-date
3 information we possibly could. DOD GEIS was
4 collecting samples and monitoring for respiratory
5 disease across the globe. We had samples, and we
6 continue to have samples coming in through our
7 Global Respiratory Surveillance Program.

8 The commands, the combatant commands,
9 and in particular Pacific Command, issued guidance
10 and travel restrictions to SARS-affected areas --
11 issued two of those -- one early on that impacted
12 the original affected areas, and then just recently
13 added Taiwan to that list.

14 The Department of Defense plays a role
15 in emergency MEDEVAC when all other agencies or all
16 other venues aren't available, and even though it
17 took us a little bit of time to coordinate it, we
18 did help out in removing an American citizen from
19 Ho Chi Minh City, who had SARS. USAMRIID right
20 here was deeply involved from the very beginning,
21 continues to be involved in testing antivirals for
22 the corona virus, and we stood up Websites and did
23 various other things. One of the other products,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 the one I want to talk about today, was a tabletop
2 exercise that we conducted on the 1st of May, and
3 it was involving an outbreak -- the scenario we
4 used was an outbreak of SARS in a theater of
5 operation. Brought in a number of senior
6 policymakers to discuss the policy implications of
7 this. So I'd like to discuss a little bit about
8 what we term the "SARS Wars Exercise".

9 (Slide)

10 The problem that we presented them with
11 was how to develop a proactive strategy to manage
12 SARS before it presents in a theater of operations,
13 and got the best minds together across the
14 Department of Defense and including Centers for
15 Disease Control, Veterans Affairs, and the Surgeon
16 General of the United States, the Office of the
17 Surgeon General -- the Deputy Surgeon General
18 attended the meeting.

19 The products that we were looking for
20 were strategic products, products at the strategic
21 level -- primarily a Policy Memorandum to start to
22 direct this process of attacking an outbreak in a
23 theater of operations, which you can imagine have a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 major impact not only on operations, but because of
2 the way we do business in a theater of operations,
3 communicable disease control can be problematic in
4 some cases, and there are also some political
5 implications when military people become the source
6 of infection for civilians in a host nation. So we
7 wanted to discuss those.

8 Also as part of this, we're looking at
9 the opportunity to develop DOD Directives which
10 would give us an approach to outbreaks in a theater
11 of operation not just for SARS, but could we come
12 up with a template for doing that that we could
13 operationalize for other types of outbreaks.

14 (Slide)

15 The basic outcome that came out of
16 here, and that makes a lot of logical sense, was a
17 tiered, risk-based framework to it, where we apply
18 risk reduction measures appropriate to the level of
19 risk both in time and space within a theater of
20 operations. This fits within a NATO Medical
21 chemical/biological/radiation framework that
22 combatant commanders are somewhat familiar with in
23 how they approach these issues, with these sort of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 headings.

2 (Slide)

3 The basic template that we came up with
4 based on the decisions from the senior leaders was,
5 if you look at the matrix across the top, the
6 various impact Tiers 1 through 5 depend on the
7 situations of SARS with respect to the theater of
8 operations. We're actually in Tier 1 at the time -
9 - no SARS in the world. SARS is really with us at
10 the present time, so we really move from Impact
11 Level 0 to 1, and the various things to sustain
12 transmission within the theater to an uncontrolled
13 outbreak -- you know, the "Omigosh" syndrome -- and
14 then these capabilities that we discussed from the
15 standpoint of this NATO template with the various
16 operational capabilities laid out there to --
17 strategic capabilities and operational capabilities
18 laid out beside them. We're filling in those
19 squares as we speak. We have a draft of this
20 document that's ready to go into coordination at
21 the present time. It will require a lot more heads
22 and more than the last three weeks of time to fill
23 in all of the information on each of these Impact

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 Tiers, but that's where we're headed at the present
2 time.

3 (Slide)

4 Some of the implications and
5 considerations is a phased approach -- in other
6 words, we take the SARS issue, the types of
7 operational measures taken would depend on time and
8 location even within the theater of operations, the
9 risk onboard ship is different than it would be on
10 the shore. It would be different if you had SARS
11 cases in Baghdad versus in some other location
12 within the theater of operations. So what
13 commanders do within those locations would be
14 dependent on that risk.

15 Uniform protection against all of the
16 populations at risk, to include military, the
17 Coalition Forces, and the civilian populations,
18 understanding that preventive measures need to be
19 in place at all of the echelons of care from Level
20 1 right through definitive care. One of the -- and
21 I'll talk about this a little bit more -- but we
22 talked about MEDEVACing only by exception -- in
23 other words, leaving our cases in theater if

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 entirely possible. And then operational management
2 of the cases -- in other words using good clinical
3 guidance on how we do things from a risk management
4 standpoint at that specific location, and making
5 decisions that can withstand scrutiny later on.

6 And, finally, coordination with the
7 other players. This won't be in a vacuum, it won't
8 be just military folks. Within our current theater
9 of operations certainly there will be an Iraqi
10 government within a short period of time. We have
11 GMO (phonetic) that are out there as well that
12 we'll be working with as well as the Coalition
13 Forces.

14 (Slide)

15 Very strong recommendation from the
16 senior leaders was to move the laboratory assets to
17 theater. We have, as you know, CDC has developed a
18 PCR test that does allow at least from a
19 surveillance standpoint for rapid identification or
20 rapid confirmation of cases. The sensitivity and
21 specificity, of course, is still being worked out.

22 Since one of the primers for this product will fit
23 into a lifecycler and we have a product called

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 RAPIDS (phonetic) which basically uses the
2 lifecycle technology to be able to do PCR work, the
3 goal is to move that as close as we can to the
4 theater of operations for detection.

5 MEDEVAC by exception -- what we're
6 talking about here is moving assets to the theater,
7 if needed, to take care of any cases. The vast
8 majority of peoples, particularly in our age group
9 of cases, would not be at great risk for mortality
10 -- the mortality risk is certainly age-dependent --
11 and we could potentially take care of most of these
12 cases in theater. The exceptions would be those
13 cases that are uncontrollable or who have
14 concomitant problems -- the sucking chest wound as
15 well as being infected with SARS -- and providing
16 personal protective equipment, ensuring that that
17 is available in accordance with CDC guidelines. And
18 then, of course, risk communication.

19 (Slide)

20 So, in conclusion, we feel as though
21 the SARS could have an adverse effect in a theater
22 of operations. We wanted to put together some sort
23 of policy document or an approach that would allow

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 us to do this. We felt as though this tiered risk-
2 based approach is the optimal way of helping to
3 prevent and control SARS. That's it.

4 DR. OSTROFF: Thanks very much. Let me
5 open it up to questions from the group. John?

6 DR. HERBOLD: How would you interact
7 with the Department of State and other nonmilitary
8 personnel in theater (inaudible)?

9 LtCOL. GIBSON: We've already been
10 interacting with the Department of State not in
11 this theater -- actually, we have in this theater,
12 but most of our interactions with the Department of
13 State has been in Southwest Asia at the present
14 time, and collaborating at the table, sharing
15 information, and supporting as needed and as
16 required.

17 DR. GRAY: Roger, I'm reminded again
18 that frequently military personnel have been held
19 liable in the sense of importing a number of
20 infectious agents, not only our personnel but
21 military personnel from other countries, and that
22 threat, of course, is a tremendous one,
23 particularly if we were to import this agent into a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 crowded retreat facility.

2 I want to commend you for getting
3 involved with the CDC nerve center, but I'm
4 wondering if the CDC is fully sharing with you some
5 of their reagent -- for instance, their monoclonal
6 antibodies (inaudible), and the very latest,
7 because I think certainly that the DOD deserves to
8 have that capability.

9 LtCOL. GIBSON: I'm not sure about the
10 monoclonal antibodies at this moment. I know that
11 USAMRIID has been working on a daily basis with CDC
12 on these issues. So I'm not sure that that
13 specific diagnostic test is available at this
14 moment, but I will tell you that we have also
15 provided a couple of things. With respect to
16 screening going into an operational theater, we
17 implemented that back in I believe March, end of
18 March the services implemented screening procedures
19 for our troops going into an operational theater,
20 to reduce the risk of bringing something in.

21 We also have recommendations that are
22 at the Personnel and Readiness, the Under Secretary
23 of Defense for Personnel Readiness, with respect to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 training environments and what needs to be done in
2 training environments to reduce the risk of
3 introduction of infection -- simple, logical,
4 straightforward good public health practice which
5 we've seen to be effective in controlling this
6 agent, and those things are being implemented.

7 MS. EMBREY: I wanted to comment first
8 on the question about our support with the State
9 Department (inaudible words). The situation there
10 is such that additional guidance has been issued to
11 ensure that while there is no (inaudible), that DOD
12 would work (inaudible words), and to also, to the
13 largest degree possible, provide for (inaudible
14 words).

15 (Technical malfunctions prevented
16 adequate recording of discussion.)

17 DR. OSTROFF: Let me make just a couple
18 of comments. One is I'm not aware of (inaudible
19 words) it wasn't when I left the other day.

20 (Technical malfunctions prevented
21 adequate recording of discussion.)

22 LtCOL. GIBSON: We, as guests normally
23 -- we're talking here in a theater of operations,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 but as guests normally in those host nations we
2 tend to comply with their wishes as much as
3 possible. Keep in mind that most places where we
4 would have a SARS case from one of our folks there,
5 they probably already have SARS and they probably
6 already have people in their hospitals. We would
7 be most likely to move out an individual where we
8 could not provide appropriate levels of care
9 without bringing in an air transportable hospital
10 or something like this, which we obviously wouldn't
11 do. We would move the patient before we would do
12 that.

13 LtCOL. WOODWARD: I just wanted to
14 share that if we do need to transport a patient,
15 U.S. Transportation Command has very carefully
16 considered the safest way to transport a patient,
17 and procedure for receiving the patient (inaudible)
18 are in place, but U.S. Transportation Command does
19 have a protocol for how they do a transport -- what
20 type of aircraft, what would the aircrew
21 (inaudible), that sort of thing.

22 LtCOL. GIBSON: To add to what Kelly
23 said, TRANSCOM's recommendations for moving

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 patients was actually used by CDC. It was a
2 template for CDC's product, their recommendations.

3 So we were out front on that issue.

4 We also -- just to give you a status
5 report on where we are with SARS within the
6 Department of Defense, we have one suspect case.
7 We've had several cases, about a dozen -- or
8 several cases that were brought to our attention --
9 this shows that our surveillance system was working
10 pretty well -- brought to our attention, and only
11 one of those, an individual in Utah, a retiree, met
12 the case definition for a suspected case, and only
13 after a slight change in the CDC case definition
14 where you didn't have to have objective fever but
15 reports of fever, and that allowed that case to be
16 a suspect case. We've had no other cases across the
17 Department of Defense at the present time.

18 DR. LeMASTERS: My question goes back
19 to early detection, and it lists the movement of
20 the military, sort of constant movement, coming
21 from like Canada -- I just heard of two cases up in
22 (inaudible) Toronto, and from the Asian countries.

23 Are we doing -- I know we're doing screening

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 (inaudible) come back into the States?

2 LtCOL. GIBSON: We follow CDC's lead on
3 this. CDC at the present time is meeting aircraft
4 as they come back to the States, handing out health
5 alerts, and they are made aware of people who are
6 symptomatic at that time. The individuals are
7 informed of their risk for -- since they've been in
8 a SARS-affected area, their risk of having the
9 disease over the next ten days and to report for
10 health care. We're following exactly the same
11 procedures.

12 DR. LeMASTERS: I was just thinking
13 about it's too easy just to take the temperature
14 when people don't (inaudible). It seems like that
15 the military wouldn't have to perhaps even have a
16 higher standard to early detection of forces coming
17 in from particularly high risk affected areas.

18 LtCOL. GIBSON: At the present time,
19 we're still following CDC. There were those
20 discussions. The number of folks that we have in
21 these areas tend to be relatively small, and
22 certainly the situational awareness of SARS is
23 quite high, particularly in military members. And

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 at the present time we do not plan, by policy, to
2 be taking temperatures of people when they arrive
3 or ten days afterward, it's a matter of informing
4 and making sure that they monitor their health.

5 CAPT. SCHOR: Just a quick thing. This
6 has been tremendously (inaudible) because of level
7 of cooperation and information sharing with CDC,
8 with WHO. We have Preventive Medicine Liaison
9 Officers with WHO. We have, thanks to the support
10 from GEIS, we've had consistent presence -- I think
11 Col. Shanks has been down there most recently since
12 he signs the daily update that we all get. So we
13 have somebody down in Atlanta. And that sharing of
14 information has allowed PAC Fleet to try not to
15 send folks into the highly desirable Port of Hong
16 Kong, and making difficult decisions of going into
17 Singapore because that's where your big ships are
18 at. It's been a win-win for (inaudible) which is
19 ongoing right now in Thailand. As you know,
20 Thailand was very -- a Fort Apache of the Bronx
21 kind of an approach to folks coming into their
22 borders, and everybody got screened, was screened
23 for symptoms and fever -- Marine Corps, Army, Air

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 Force -- anybody going into Thailand got screened.

2 And the win-win is the support from GEIS and the
3 Tabletop Research Center and with and (inaudible).

4 The project (inaudible) we are doing (inaudible)
5 has never been done before, and the sensitivity of
6 screening for this has the highest command
7 attention, and it's all thanks to the information
8 sharing and making those hard calls where you don't
9 go into ports, there's a lot of off-limit travel.
10 You don't go to China now unless you absolutely
11 have to. So the level of travel internationally is
12 kind of astounding, as we have found out with this,
13 and the military has been forward in reducing the
14 risk of exposure by eliminating travel or severely
15 curtailing it.

16 DR. OSTROFF: Again, that's the point
17 that I would emphasize, that has been one of our
18 primary strategies as well, is to try to stress and
19 recommend that individuals not make what we
20 consider as nonessential travel to SARS-affected
21 areas, and there are generally two reasons to do
22 that. One is because it reduces your risk of
23 getting SARS, but also reduces your likelihood of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 coming back with some other respiratory infection
2 (inaudible words). And so it's been a fairly
3 successful strategy for us to do that.

4 As far as the issue of what to do about
5 person coming from those areas, we have in general
6 defaulted to the WHO recommendation, which is
7 probably a better strategy than screening
8 individuals when they arrive, is screening those
9 individuals before they embark. And that's been
10 WHO's position, and that's a position which we also
11 support. And WHO has made recommendations that all
12 SARS-affected areas, that all individuals traveling
13 out of those areas should be screened prior to
14 departure. And how exactly that's done varies
15 somewhat from country to country to country, and
16 some locations actually are taking temperatures of
17 individuals before they get on planes or get on
18 ships or get on trains or whatever it happens to
19 be, and others order a questionnaire and overall
20 just visual checkers and things of that nature.
21 But that's probably a better way (inaudible words).

22 DR. LeMASTERS: Just one final comment.
23 I've just come from the (inaudible) in Seattle,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 and talking to a couple of (inaudible), who were
2 asked about what kind of screening they went
3 through, if any, when they left China, and they had
4 not done anything. There was no screening when
5 they left China.

6 DR. OSTROFF: And to a certain degree,
7 it depends on where they're coming from as far as
8 (inaudible words).

9 DR. SHOPE: Bob Shope. Just wondering
10 if DOD has identified quarantine facilities in the
11 overseas sites. (Inaudible words.)

12 LtCOL. GIBSON: At the present time,
13 with the number of SARS cases and the distribution
14 of military members across the world, we haven't
15 identified specific quarantine locations within
16 each of our facilities. Part of this within an
17 operational theater, part of this template we're
18 working on is to be able to do that if necessary.
19 We have a DOD regulation or DOD Directive right now
20 on emergency powers for commanders that plays into
21 this issue of quarantine. Quarantine is an awfully
22 big step. Other than that, no, we haven't
23 identified -- on a onesy-twosy basis, we haven't

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 identified quarantine in-theater. We do have, as
2 we talked about with respect to individuals in one
3 location where we don't have a strong DOD presence,
4 the ability to MEDEVAC those cases and designated
5 hospitals for MEDEVAC.

6 COL. SHANKS: 18th MEDCOM has actually
7 built a separate isolation facility, with 8 ICU
8 beds and 8 ward beds, partly because of its
9 perceived threat being in Asia, so at least in one
10 case in Seoul, Korea they've done that.

11 LtCOL. GIBSON: That's a good point.
12 The Korean commander has taken some very, very
13 effective measures.

14 COL. MAGILL: Just a comment. This
15 whole SARS thing really sprung up, of course, in
16 mid to late March right at the time of Operation
17 Iraqi Freedom as we were preparing to go to war.
18 So, it's very interesting to see that access to the
19 Internet and the WorldWideWeb was absolutely
20 essential to stay abreast on developments. And
21 most of our forward deployed forces in Kuwait were
22 literally in a just-in-time environment, and they
23 were setting up tents while this was going on, and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 very limited and very intermittent access to the
2 Internet. A few of us in more rear areas had good
3 access, and the Kuwaiti government had a scare the
4 first part of April with a reported suspect case,
5 and very quickly they realized that the U.S.
6 military (inaudible) on a daily basis 110-115
7 percent of the population of Kuwait. And they
8 instituted through the American Ambassador a very
9 pointed query to us because they wanted to make
10 sure that the military, our military, was not a
11 backdoor to introduce SARS into their country. And
12 this stimulated a tremendous amount of activity
13 (inaudible) which obviously people had other things
14 to deal with. So we had a very few weeks during
15 that period in Kuwait.

16 DR. OSTROFF: Let me ask this last
17 question, and then we probably have to break for
18 lunch, but while a lot of your thinking and a lot
19 of your planning has been around the situation with
20 Iraq -- and I think it's very appropriate -- but
21 I'm just curious -- and you may not be able to
22 answer this -- have you thought through what you
23 might do with Korea? We've been relatively

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 surprised so far that Korea has been spared from
2 SARS, but it might not last.

3 LtCOL. GIBSON: I would defer that to
4 the combatant commander of the Joint Force in
5 Korea. I will say this, I have seen some of the
6 documentation on the steps that he has taken, and
7 they are extensive. Let me just put it this way.
8 It has been considered and well thought out.

9 LtC. JONES: I really don't have much
10 to add to that other than the fact that in Korea
11 the measures (inaudible words) than what is being
12 recommended by the Pacific Command there.
13 (Inaudible words.) So I believe they are taking it
14 very seriously and (inaudible words) relationship
15 with their leadership. So I think they're
16 monitoring it very, very closely and preparing for
17 it.

18 DR. OSTROFF: We'll take one more
19 question.

20 DR. GRAY: It strikes me that the
21 international samples that Project (inaudible) is
22 working now are much more of a threat to the
23 (inaudible) than they were, say, six months ago.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 What sort of precautions has that group taken?

2 LtCOL. GIBSON: Dr. Neville will handle
3 that one, I'm quite sure, it's his lab.

4 (Technical malfunctions prevented
5 adequate recording of discussion.)

6 DR. OSTROFF: Rick, do you want to give
7 us some instructions for lunch?

8 COL. RIDDLE: What we're going to do is
9 we're going to have a working lunch here in the
10 conference room. The conference room will open
11 back up at 12:45. It will be just for the Board
12 members, the Preventive Medicine Liaisons, the
13 speakers from this afternoon and this morning.
14 Everybody else has the NIH cafeteria, or there are
15 multiple places to eat off-base. But we'll open
16 back up here at 12:45 and the meeting will start
17 again at 1:00. I did want to remind everybody that
18 this afternoon Dr. Winkenwerder will be here at
19 2:00 o'clock, so what we're going to do in the
20 afternoon session, as quick as his Aide calls we'll
21 go ahead and shut down and get things ready to do
22 the presentation. We'll have a break after the
23 presentation, and then we'll finish up with the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 speakers this afternoon.

2 DR. OSTROFF: Thanks. We'll adjourn. (Whereu)

3 **AFTERNOON SESSION**

4 1:00 (p.m.)

5 DR. OSTROFF: Col. Jones has been very
6 quietly standing up there quite a while, so I'm
7 going to rap the gavel and let us start at least by
8 my watch about 50 seconds early because everyone
9 seems to be back. So, let's get started.

10 LtC. JONES: Thank you, sir. I very
11 much appreciate the opportunity to provide a
12 Preventive Medicine update from the Joint Staff
13 perspective. Obviously, this will be a very brief
14 update, but what I wanted to do was focus on some
15 of the preventive medicine issues related to
16 Operation Iraqi Freedom. Of course, a lot of
17 things are still going on with regard to Operation
18 Iraqi Freedom, very much still underway, and
19 there's a lot of effort already ongoing with regard
20 to capturing lessons learned with regard to that
21 operation. The Joint Staff has already begun to do
22 that. Also, the Combatant Command Surgeons will be
23 meeting next week, and the key focus will be

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 lessons learned, so that should be very
2 interesting.

3 Beyond that, I know ASD Health Affairs
4 is planning something that, although not called
5 lessons learned, will have some of that aspect to
6 it, later on this summer. And in addition to that,
7 of course, Joint Forces Command has the
8 responsibility for developing Joint Unified lessons
9 learned. So there will be a lot going on, and it's
10 very preliminary at this point, so there's not a
11 lot I'm going to be able to tell you in terms of
12 lessons learned. But what I wanted to do was focus
13 on some of the key new capabilities that have been
14 developed -- either new capabilities or maybe
15 enhancing some capabilities to try to fill some
16 gaps or improve our posture with regard to force
17 health protection.

18 (Slide)

19 This is the outline I'm going to cover.

20 (Slide)

21 First, I'd like to start talking a
22 little bit about DNBI monitoring. We've really
23 increased our capabilities with regard to detection

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 for chemical and biological agents. Our detection
2 capability continues to improve there. But it's
3 recognized that really the only full-spectrum
4 capability that we have to detect chemical and
5 biological attacks is still our health surveillance
6 system.

7 The Theater Functional Steering
8 Committee recognized that there was a need to field
9 some additional capability that we didn't already
10 have, and in looking at what we could field rather
11 quickly, the Pacific Command had developed a
12 technology demonstration project that was
13 implemented prior to the actual beginning of ground
14 and air operations for Operation Iraqi Freedom.
15 The solution that was used was the Joint Medical
16 Work Station. It's a Web-based system, and the
17 idea is it's much more than just a DNBI
18 surveillance system, it's a command and control
19 system for deployed medical facilities. Really, the
20 idea was to provide the Medical Commands with a
21 common operational picture from the battle space,
22 so although the services had their own individual
23 systems, the Navy had a system, the Air Force had a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 system. The Army prior to this had not really
2 fielded a Web-based type system, so they were still
3 using, I think, mostly spreadsheet type analysis of
4 data. But there was a desire to really bring all
5 that data together to provide one common
6 operational picture, and to do that in very short
7 order, I might add. So, again, a lot of these
8 things were done very much on the fly or on the
9 run.

10 The system again does a lot of things,
11 but one of the things specifically that I wanted to
12 focus on is the disease reporting aspect of it, and
13 the epidemiological analysis tools that go with it.

14 So this did give us a new capability. It also
15 accepts patient encounter module input from the
16 service systems, which obviously where you
17 eventually want to go, is to be able to have the
18 patient encounter data logged in from the
19 beginning, almost on a near real-time basis fed
20 into a system where you can do some very much real-
21 time analysis, and that kind of piggybacking on
22 that was the idea that obviously waiting for weekly
23 DNBI data is really not adequate with regard to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 determining if you want to be able to pick up a
2 chemical or biological attack and be able to
3 implement countermeasures and interventions in a
4 timely way, our weekly DNBI monitoring that was
5 previously required by Joint Staff guidance wasn't
6 adequate for that purpose.

7 (Slide)

8 So, what we did was, in January the
9 Joint Staff sent out a message that directed that
10 daily DNBI reporting would be implemented. This
11 requirement was taken on by U.S. Army Central
12 Command. They decided rather than using the normal
13 weekly reporting categories, to come up with five
14 special surveillance categories that would
15 particularly apply to this idea of what we needed
16 to find out right away, and the five categories are
17 shown on this slide -- dermatologic illness,
18 infectious GI illness, lower respiratory, and
19 systemic fever illness. And also they added another
20 category which was unexplained neurologic symptoms.

21 So this was again a CENTCOM decision as to which
22 categories that they would do. They felt that this
23 was something that could be done in the field at an

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 operational level even down to their Level 1
2 facility, so at the lowest level what they were
3 hoping to capture with this kind of approach.
4 Again, the purpose was enhanced surveillance and
5 identification of health events -- I specifically
6 talked about the chemical and biological type
7 events, but also for naturally occurring events
8 obviously they would be useful as well.

9 Now, this did not supersede the weekly
10 DNBI reporting, as I mentioned, this was a smaller
11 subset of the overall reporting that was required,
12 so the weekly reporting continued to be done in
13 accordance with the JCS memo. The Air Force
14 Institute for Operational Health did a yeoman's job
15 with regard to analyzing this data, the weekly and
16 the daily data. It's a huge job to do that, and
17 they continue to play that role and we're grateful
18 for their efforts with regard to that.

19 And I did ask AFIOH -- I hope I'm
20 pronouncing that right -- LtCol. Kenneth Cox, who
21 has been instrumental in pulling all this together
22 for something that I could present to the Board in
23 an unclassified fashion. Obviously, we always get

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 into those issues of some of the data is
2 classified, and we're still working those issues of
3 how we can declassify data at the appropriate point
4 to make it useful for a number of purposes. And I
5 realize LtCol. Cox, when he sent me this slide,
6 pointed out all the potential problems with making
7 these kind of comparisons and certainly this body
8 is very much aware of those kind of limitations,
9 but given all that, when we look at Operation Iraqi
10 Freedom and compare to some of the other recent
11 operations, our DNBI rates seem to compare fairly
12 favorably in that regard. You have the slide in
13 your books, so I'm not going to spend a lot of time
14 on that.

15 (Slide)

16 Now, the next topic I wanted to cover
17 was occupational and environmental monitoring. I
18 think this is one area in particular where we --
19 sir, do you have a question?

20 DR. OSTROFF: I would just ask could
21 you clarify for me what were Operation Joint
22 Endeavor and Operation Joint Guard?

23 LtC. JONES: The operations in Bosnia

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 and Kosovo. So those are some of the more recent
2 major operations that we've had.

3 Just transitioning to occupational and
4 environmental monitoring, this is something, if we
5 really look back to Operation Desert Storm, we've
6 made tremendous strides with regard to this area. I
7 think this is one of the areas we've made probably
8 the most progress, and it really starts as a full-
9 spectrum type of approach with regard to the
10 continuum of surveillance is the way I would
11 describe it.

12 First, it starts with the intelligence
13 preparation of the battlefield. Before anybody
14 deploys anywhere, there's really a quite extensive
15 intelligence gathering and assessment that's done.

16 The Armed Forces Medical -- AFMIC (phonetic) of
17 course plays a key role in that. CHPPM plays a
18 role in working with them. And it was very
19 interesting, on the CiproNet they post these pre-
20 deployment occupational and environmental health
21 risk assessments, and there were I think on the
22 order of 50 -- maybe I'm getting the number wrong -
23 - but around 50 for very specific locations within

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 Iraq and Kuwait where we were expected to have
2 personnel deploy, 40 to 50 pages of detailed
3 summary along that kind of order, so really a lot
4 of detailed information that was prepared in terms
5 of assessing the threat before anybody even
6 deployed.

7 Once personnel were deployed and were
8 in base camps, then teams were brought in to do
9 baseline assessments from an occupational and
10 environmental assessment. After those baseline
11 assessments were done, of course, we also had --
12 Preventive Medicine teams from all the services
13 were equipped with in addition to their organic
14 equipment, the Center for Health Promotion and
15 Preventive Medicine provided them backpacks for
16 occupational and environmental health monitoring
17 for all the services, so they had that capability
18 as well.

19 Of course, Preventive Medicine units
20 are going to do their typical operational
21 environmental health surveillance, the routine kind
22 of things, the preventive medicine sanitary surveys
23 and inspections that you would be familiar with

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 that they would normally do, so that's an ongoing
2 thing on a weekly/monthly basis for various things.

3 Then there's the issues of event
4 tracking when certain specific events come up. A
5 good example would be the Iraqi oilwell fires,
6 which was anticipated again that Saddam Hussein
7 would likely set fire to various oilwells and the
8 potential health risks associated with that. So
9 CENTCOM actually did a tab to their appendix in the
10 Annex Q that dealt specifically with oil fires and
11 the risks associated with that. So that was
12 something that they were planning for before there
13 was actually any oilwell fires set. So, again,
14 anticipating, thinking head, and teams were sent in
15 to assess that risk as soon as they were able to
16 get into the theater and get close enough where
17 they could assess that threat.

18 In terms of health risk communication,
19 obviously that's always key with regard to this.
20 We not only need to collect the data, but we need
21 to communicate it to unit commanders, to individual
22 troops, and throughout we need to communicate also
23 to our Preventive Medicine personnel who can then

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 train-the-trainer type approach and get this
2 information down to the lowest level.

3 And a key aspect also, of course, are
4 deployable laboratory assets. We had the theater
5 medical surveillance team, the theater Army Medical
6 Laboratory, the Navy had shipboard assets, and the
7 Air Force has deployable medical assets, so a wide
8 range of deployable lab capability was deployed in
9 support of the operation. And, of course, they
10 have reachback capability to the U.S. for
11 specialized capabilities and confirmatory type
12 analysis, things like that.

13 (Slide)

14 The next topic I wanted to briefly
15 mention is the use of investigational new drugs.
16 The combatant commands requested use of three
17 products for BOTTOX. They requested the use of BOT
18 toxoid, BOT immunoglobulin, and BOT antitoxin. And,
19 again, some of the limitations with regard to those
20 I think were brought up in earlier sessions. Also,
21 Special Operations Command requested us of the
22 fibrin bandage.

23 So, how did things go with regard to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 implementation of those? Really, we're still
2 waiting for data and assessment, lessons learned.
3 A key part of that, though, was that U.S. Army
4 Medical Research and Materiel Command deployed IND
5 assistance teams, which I think, as you all know,
6 INDs are very difficult to implement under any
7 circumstances. Under an operational situation,
8 extremely difficult to implement. And so the idea
9 of sending out IND assistance teams I think was
10 very critical to at least trying to make that work.

11 I have not gotten the official lessons learned or
12 after-action reports yet from that, but in talking
13 to Col. Magill, who is in the audience, from WRAIR,
14 there are some very interesting things that he had
15 in terms of comments, and it will be interesting to
16 see what the assessment is of the viability of
17 these things.

18 Part of the idea, of course, is that --
19 in the short-term anyway, as was mentioned -- we
20 have anthrax and smallpox as licensed products, and
21 we have antibiotics for certain threats, but there
22 are a number of threats we don't have vaccines for.
23 So for the foreseeable future, we may be relying on

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 IND type products and how to do that as smart and
2 as efficiently as we can to support the combatant
3 commands is very important.

4 You probably know that the combatant
5 command actually has to request the use of an
6 investigational new drug. The decision is with the
7 Secretary of Defense. And even if the Secretary of
8 Defense makes the decision to use an IND, they
9 still have to be given with informed consent unless
10 the President of the United States decides to waive
11 that requirement. So very detailed reporting
12 requirements and health risk assessment type
13 requirements required to be given to the
14 individuals.

15 Just a few notes of things that we
16 think would need to be improved in terms of seeing
17 this process unfold recently in OIF is that we
18 certainly believe that we can improve the process
19 even based on the limited experience that we've had
20 recently.

21 One of the things that we really think
22 is important is that investigational new drug use
23 needs to be planned just like the use of other

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 countermeasures. It really needs to be included in
2 the planning process in the Annex Q health service
3 support. We need to deal with INDs, what is
4 needed, when they are going to be requested, use of
5 implementation teams, and it really needs to be
6 just part of the overall planning process. In the
7 case of these INDs, they often require special
8 equipment like freezers and things that would not
9 necessarily already be in-theater. So there's a
10 logistical piece that really needs to be dealt
11 with, and so we certainly are going to try to
12 champion, from the Joint Staff perspective, making
13 that as part of the normal planning process for the
14 Annex Q.

15 Also, I guess I would just like to
16 mention that obviously putting the information as
17 much -- we tried to use some of the tools that were
18 available. The Military Vaccine Office had
19 developed some draft documentation with regard to
20 IND implementation for combatant commanders and
21 down to the unit level leaders. Those were in draft
22 form, but we went ahead and sent those to the
23 combatant commands anyway. We think it's really

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 important that we use the ability of the CiproNet
2 and the ability to use the force health portal to
3 provide information that combatant commands can
4 readily get for themselves as part of their
5 planning process.

6 And I would just like to mention,
7 obviously, that everybody is kind of looking for a
8 silver bullet with regard to these INDS. There are
9 clearly some -- they aren't silver bullets, they
10 are very difficult to implement, and we really have
11 to look at what the limitations are, but yet figure
12 out how we can best use them.

13 (Slide)

14 Vaccinations is something that, as Col.
15 Riddle mentioned he spent about half of his time
16 working vaccinations, I spent probably, I don't
17 know, 75 percent of my time working vaccinations in
18 the past year. Overall, as has been mentioned --
19 I'm going to cover this very briefly -- I think the
20 implementation was relatively successful especially
21 considering the tight timeline that we were under.

22 However, I think the goal would have been to have
23 all of our personnel, before they deployed,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 vaccinated for smallpox and with at least the first
2 three vaccinations for anthrax. We didn't achieve
3 that goal. And, of course, if in fact there had
4 been a preemptive strike, that was a serious
5 concern. So, although we had a large number of our
6 personnel that were protected in-theater, when they
7 arrived in-theater, there were still a fair number
8 that were not. And, of course, I know from our own
9 leadership on the Joint Staff perspective, they are
10 looking at it from the standpoint not of what has
11 been done, but what hasn't been done in terms of
12 protecting our troops.

13 I've briefly mentioned that Bot Tox
14 products were provided. They had to, again, be
15 given under informed consent. And with regard to
16 the way ahead, we certainly, from a supply
17 standpoint, had been limited on what we were able
18 to do, and this has been discussed. I would only
19 just like to mention quickly that there was a
20 difference in approach between smallpox -- as we I
21 think gathered from the briefing, smallpox is a
22 real threat, yet it's somewhat of an indeterminant
23 threat, and in dealing with an indeterminant threat

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 that has potentially very major strategic
2 consequences, we felt that the best approach was to
3 use a capabilities-based approach where we would
4 protect certain capabilities that we could not
5 afford to have impacted in the event of a smallpox
6 attack.

7 In offering ideas with regard to
8 expansion of the program not only looking at
9 higher-threat areas, but also certain capabilities
10 that would need to be protected again. The
11 DEPSECDEF has already directed the vaccination for
12 continuity of operation personnel, and in the
13 Pentagon within the National Capital Region, so
14 that we would continue to be able to operate in the
15 event of a smallpox attack. That's already been
16 directed, and the services and the Joint Staff are
17 planning to implement that, as well as the OSD
18 staff. So that is ongoing.

19 Now the idea that maybe we need to
20 expand that to combatant commands, maybe even to
21 service field headquarters, because again we have
22 to think about what the vulnerabilities are there.

23 Also, the need to be able to respond in a homeland

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 defense situation, Northern Command and Pacific
2 Command have both requested the authority to
3 vaccinate certain forces that would respond in
4 terms of homeland defense -- example, quick
5 reaction forces and other forces that would be
6 needed to go right into the attack would be
7 prepared to. And so that's something that needs to
8 be considered as we look at potentially expanding
9 the program.

10 (Slide)

11 And the last topic that I wanted to
12 briefly mention was post-deployment health
13 assessment. Dr. Chu recently directs, actually on
14 the 22nd of April, that the services would
15 implement certain enhancements to the post-
16 deployment health assessment process. Needless to
17 say, that came the 22nd of April. Implementation
18 is supposed to begin tomorrow, so it's a very tight
19 timeline.

20 I wanted to quickly cover just some of
21 the highlights of some of the differences between
22 our existing post-deployment health assessment
23 process and what's been directed in terms of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 enhancements from Dr. Chu.

2 First of all, sea-based personnel are
3 now included. In the past, if sea-based personnel
4 had not gone ashore, they would not have been
5 mandated to go through this process, now they
6 would. All health assessments now have to be
7 conducted face-to-face with a trained health care
8 provider.

9 Blood samples now will be obtained from
10 all returning personnel. In the past, when HIV
11 samples were taken, those samples would also be
12 part of the repository, but now for all personnel.

13 The questionnaire, DD Form 2796, has
14 been significantly expanded from 2 pages to 4
15 pages, and the key things that were added to it in
16 terms of questions deal with mental health issues,
17 specific medications taken during deployment, and
18 personal concerns about environmental and
19 occupational exposures, just to give you a very
20 kind of thumbnail overview.

21 The services were directed to prepare
22 implementation plans. The Marine Corps and the
23 Army I know have already published their plans. I

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 know that the Navy and the Air Force have theirs at
2 the very top levels of their organizations, so I
3 expect they will be signed out soon as well.

4 Implementation, again, is supposed to
5 begin tomorrow. Now, changing a program like this
6 in the midst of the biggest deployment in 12 years
7 -- we're talking, of course, about a half a million
8 people that have deployed -- so it's a huge
9 undertaking, particularly to be doing this with
10 less than 30 days from notice to implementation.
11 And as always, I believe that the services are, my
12 impression is, stepping up and doing everything
13 they can to meet that challenge.

14 That's all I wanted to cover, and I'm
15 ready to take any questions, sir.

16 DR. OSTROFF: Thanks. Why don't we
17 open it up before I ask my questions.

18 DR. RUNYAN: I wonder if you could give
19 a little more detail about the injuries that were
20 shown on the table that you presented. They appear
21 to be at a rate of 2 to 7 times of all the other
22 (inaudible). I just wonder what some of the
23 circumstances are, what some of the countermeasures

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 are that are being put in place, and the priority?

2 LtC. JONES: Ma'am, that's a wonderful
3 question, and I wish that I was prepared to give
4 you a detailed analysis of what's behind those
5 injuries. We actually don't just capture that one
6 category of injuries. If I recall correctly, there
7 are four categories with regard to injuries that we
8 separately break out so that we have some
9 specification of what those injuries are. But for
10 the purposes of this presentation, because of the
11 short nature of the time, and also somewhat with
12 regard to the classification of the data because I
13 believe that if we go below a certain level we have
14 to begin to worry about some classification issues.

15 So I'm sorry I'm not prepared to give you a
16 detailed breakdown, but that data certainly is
17 collected and it is analyzed. And I don't have any
18 summary lessons learned to give you on that right
19 now, I'm sorry.

20 DR. OSTROFF: Dr. Patrick.

21 DR. PATRICK: The Form 2796 has gone
22 from 2 to 4 pages. The questions on personal
23 concerns about environmental and occupational

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 exposures, are those both force choice and open-
2 ended?

3 LtC. JONES: I'm going to let my
4 colleagues chime in here because they are aware of
5 the process as well, so feel free if you would like
6 to add to it. There are some very specific -- a
7 number of specific things that they're asked about.
8 Were they exposed to dust? Were they exposed to
9 JP8 fuel? It's a lot of tic-and-flick kind of
10 thing. So there is a lot of that. I believe that
11 there's still some room for the open-ended kind of
12 answer as well.

13 DR. PATRICK: I would think there would
14 be great value in having open-end, so it sounds
15 like there are various chances to describe your
16 experience and sort of things that could be
17 evaluated later.

18 LtCOL. WOODWARD: I don't remember the
19 exact wording, but are there other exposures that
20 you (inaudible words).

21 LtC. JONES: And I might just mention,
22 in the past the questions have mostly been more
23 open-ended, and this is providing I guess some more

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 specific questions to supplement that.

2 LtCOL. PETRUCELLI: The four pages is
3 really the full -- essentially old 2 pages plus 2.

4 So, like you just said, the open-ended part is
5 still there. It's a two-edged sword when you ask
6 about exposure, this got a lot of attention among
7 the services because if you answer that -- as was
8 alluded to this morning -- you ask about substances
9 that were used because we're trying to protect
10 them, like Deet, you also don't want to embed in
11 their minds that there's a problem with these, so
12 it's one of these -- it can be argued either way.

13 Another comment I want to make is in
14 response to the question about injuries. If you
15 add up all the medical categories, they are about
16 the same -- all the types of injuries, all the
17 types of medical, they are about the same. If you
18 break down the injuries, particularly in places
19 like Bosnia where there is almost a fixed facility
20 type of environment now, you have almost a garrison
21 type environment, a lot of work going on, but
22 there's no hard floors, they fall and they hurt
23 themselves, and a lot of those hard floor injuries,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 create injuries, and those are training related
2 injuries, not necessarily accident type injuries or
3 clumsy things get in the way, but just day-to-day
4 stuff that you'll see if you follow the same type
5 in garrisons.

6 DR. GRAY: In anticipation of a multi-
7 symptom condition arising from this latest
8 deployment, do you think you might be able to share
9 some of those post-deployment data with us at the
10 next meeting?

11 LtC. JONES: Sir, we certainly can look
12 into what we're able to share. I know we had a
13 classified environment for the earlier
14 presentations at the beginning of this week, so we
15 certainly will talk to the folks from AFIOH and see
16 what we can put together that would be appropriate.

17 COL. DeFRAITES: The actual data from
18 the post-deployment questionnaire?

19 DR. GRAY: Yes.

20 COL. DeFRAITES: As they come in, so
21 far haven't had that many 4-page forms come in yet.

22 (Inaudible words.)

23 DR. GRAY: I think those data will be

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 very interesting.

2 DR. CAMPBELL: Can you talk about the
3 detail of the operational surveillance you did,
4 what kinds of data you got on exposures,
5 occupational environmental exposures?

6 LtC. JONES: Yes, sir. A lot of the
7 data -- the last time I asked for it, a lot of the
8 data was still being sent back to the Center for
9 Health Promotion and Preventive Medicine for
10 assessment. They are going to do a report on that,
11 but I don't believe that the report has been
12 prepared at this point. They haven't finished
13 preparing the report. So that would deal with not
14 only the Iraqi oilwell fires, but other exposures
15 in terms of the air monitoring and water and soil
16 data that was used. From my recollection, there
17 was nothing particularly unusual that had been seen
18 in the early samples that had been brought back.
19 Obviously, there's a lot of particulate matter with
20 regard to just the dust that's in that theater.
21 You're going to have, of course, a high ambient
22 rate of dust there as well. But they did do a
23 number of things with regard to water, soil and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 air. I can't really give you a good breakdown of
2 that yet because a lot of the data is still being
3 summarized in reports.

4 DR. POLAND: Do you have some way of
5 linking individual exposures to environmental
6 exposures, track units or individuals to see what
7 they were actually exposed to?

8 LtC. JONES: Yes, sir. They are using
9 a geographic information system now to track the
10 unit as the units move. We still aren't at the
11 point yet where we can track individual personnel,
12 all their movements, because our personnel system
13 thus far does not support that kind of capability.
14 That's certainly what we believe in the medical
15 community that we need, to be able to track
16 individual movement. So, yes, we'll have all --
17 the environmental data is put in one database, a
18 GEIS system where you can see what the exposures
19 are at various locations. To the extent that we
20 have units, where their locations were, we have
21 that in there. The problem is at this point if you
22 were to ask about a particular individual and where
23 they were at a particular time and what they might

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 have been exposed to, unfortunately, at this point
2 our personnel system still doesn't support that,
3 but we keep trying to work that issue with the
4 personnel side of things.

5 DR. POLAND: This is something that
6 we've asked for and wanted for years, so I commend
7 you on the implementation of it. My question that
8 relates actually to Gulf War 1 and future
9 deployments if on this 2796 Form. Are there
10 questions specifically about vaccines, or open-
11 ended questions that relate to that? If so, can
12 you kind tell me what the character of those
13 questions is?

14 LtC. JONES: There are certainly
15 questions with regard not only to vaccines, but
16 with regard to other medical countermeasures like
17 PB and other things that folks might take. So,
18 yes, there are specific questions. It's more "Did
19 you receive such-and-such vaccination?" It's sort
20 of a yes or no kind of an answer format. And,
21 again, there is some room for some open-ended
22 questions as well, so if you want to fill in other
23 things as well, you could do that.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 But I think there's been an attempt to
2 -- I mean, I don't know that you could come up with
3 a totally comprehensive list, but I think with
4 regard to the things that were most likely for
5 people to use as medications in terms of medical
6 countermeasures, those are on the list.

7 DR. POLAND: Including IND vaccines?

8 LtC. JONES: They probably do not list
9 every product, sir, but -- you all may be able to
10 help me, is BotTox on there, for instance,
11 specifically?

12 CAPT. SCHOR: I carry this around in my
13 hip pocket, it's such a near and dear thing.
14 Question 4 asks the vaccination of smallpox,
15 anthrax, botulism and says "Did you receive:
16 typhoid, meningococcal, other blank? No, No,
17 None". Of course, many times they respond and they
18 say no, they didn't, they could probably be
19 courtmartialled for failure to report (inaudible).

20 LtCOL. GIBSON: I would add that the
21 IND process will identify those folks who
22 (inaudible words).

23 MS. EMBREY: Just to re-emphasize that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 this new form is not a self-assessment form, it is
2 a form that an individual fills out and then sits
3 down with a provider and goes over those, how they
4 answered those questions, and then there is a
5 dialogue between the provider and the individual,
6 for the provider to make a determination on what
7 issues this individual needs further followup. So
8 this isn't a complete change from the previous
9 form.

10 LtC. JONES: Also, we sought to
11 document the medical records, and we have service
12 reporting systems for vaccinations and things like
13 that by individual, so there is still that piece of
14 it behind it.

15 DR. OSTROFF: Dana.

16 COL. BRADSHAW: I wasn't with the
17 Preventive Medicine Officers, I guess, when they
18 were commenting on the form, but I was just
19 wondering if we eliminate what's behind the self-
20 reported vaccination and how any discrepancies are
21 going to be handled between that and what's
22 actually in immunization registries because there's
23 two ways to gather this supposed exposure

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 information, and there has been some additional
2 literature -- obviously, the difference in what's
3 report -- (inaudible) from the U.K. just recently
4 had a letter to the (inaudible) that talked about
5 the lack of validity of self-reported immunizations
6 (inaudible).

7 MS. EMBREY: I think that the fact that
8 this is a provider (inaudible) the individual
9 provider should have the medical record on each
10 individual deployment record, and he will have
11 access to the immunization records on that
12 individual. And if the individual reports that he
13 has received a vaccination or some kind of
14 medication that's not in his records, then that is
15 something that that provider is going to have to
16 resolve, that he did and he didn't record it, that
17 can be corrected at the time.

18 DR. OSTROFF: Col. Gibson.

19 LtCOL. GIBSON: I just wanted to say
20 there are also reports about the validity of self-
21 reporting environmental exposures, and to a great
22 degree these questions help (inaudible words).
23 This identifies those other issues that we need to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 focus on (inaudible).

2 DR. OSTROFF: Other comments or
3 questions?

4 (No response.)

5 I'd like to really congratulate
6 (inaudible) for tremendous amount of work to
7 address many of the concerns and issues that we've
8 had with (inaudible words), and the assessments. So
9 I think you're to be congratulated for all the
10 (inaudible) been able to accomplish. I will say
11 I'm a little I'll use the term "dismayed". Over
12 the years these issues that are being talked about
13 are issues that the Board has spent an inordinate
14 amount of time addressing, unfortunately, usually
15 after-the-fact, and I think when you hear some of
16 the questions from us about the way questions are
17 being asked on the form, et cetera, is probably a
18 little bit as a result of not having an opportunity
19 to review some of those materials (inaudible
20 words). I mean, we are an official advisory board,
21 and I'd like to at least put in a plug that we're
22 always here and available to be able to assist you
23 as you work on validating these types of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 instruments, although very often after-the-fact,
2 we'll come back to you a year or two later and say
3 we wish you had asked this particular question and
4 we would have given this particular guidance. So
5 I'm just going to put my little dig in that from
6 our perspective this is what we're here for, and
7 you're always free to call upon us to participate
8 in the process (inaudible words). So that's my
9 little (inaudible), I had to get one in at least.

10 Col. DeFraités.

11 COL. DEFRAITES: Thank you. I'm Col.
12 DeFraités. I'll be giving the Army report. What
13 I'm going to focus on this time is exactly this
14 medical screening requirements for re-deploying
15 soldiers, so I appreciate LtCol. Jones basically
16 stealing my thunder, and we can skip directly to
17 slide -- well, let's just go through them quickly.
18 First slide, please.

19 (Slide)

20 This shows you -- and you've got this
21 in your folder so I don't need to read all of
22 these, but basically this is a lineup of all the
23 guidance that's out there providing policy guidance

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 for the Army in executing re-deployment medical
2 screening, and at the bottom is this memorandum
3 that he's mentioning, that Dr. Chu, the Under
4 Secretary of Defense for Personnel Readiness,
5 signed a month ago on the enhanced post-deployment
6 health assessments that I'll be talking about.
7 Next slide, please.

8 (Slide)

9 Here is the goals of the program for
10 the Army and, again, these are all laudable goals.
11 Down at the bottom I think is where we really get
12 to where we hope in terms of what's called a center
13 of gravity, is trust and confidence of those who we
14 are responsible for taking care of, that we can
15 earn through these other efforts -- not only take
16 care of them, but also get their trust and
17 confidence. Next slide, please.

18 (Slide)

19 I'm going to use the next slide to kind
20 of illustrate these. These are sort of the way the
21 Army has posed to try to conduct this redeployment
22 screening, and it might be a little bit better just
23 to go to the next slide.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23

(Slide)

What we're planning to do right now, as of today, is to try to initiate this process of redeployment screening. Now, again, this applies to those soldiers who are forward deployed in the CENTCOM theater that are going to be redeploying, is to right now get some type of medical threat briefing before the soldier completes the DD Form 2796. Because of the expanded form and the time that it takes, really we are going to be limited in providing a lot of the face-to-face encounter in-theater, so a lot of the face-to-face encounter is going to occur after the soldier redeploys and gets back, for the Active Component back to their home station, for the Reserve Component to the station, their mobilization station where they'll be demobilized -- not their home station, but their mobilization station. And this will be where there is this visit to the provider, will use the DD Form 2796, goes over the soldier's concerns and also, most importantly, starts the trail of what type of referrals might be needed. Also, at that time, a tuberculosis skin test will be placed and a blood

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 specimen will be drawn, a post-deployment blood
2 specimen. We're using the HIV mechanism, the
3 contracting mechanism through which we get HIV
4 blood in order to get a good chain of custody on
5 these specimens, with the labeling and the data
6 entry, so that we can associate this particular
7 specimen on this date with a particular soldier,
8 with confidence.

9 Then I have here what the next step is
10 what's called the clinical practice guideline,
11 which is the -- some of you I guess will be
12 familiar with the deployment health clinical
13 practice guideline, which is initiated to a large
14 degree by what is considered to be one of the vital
15 signs now -- do you have a health problem that you
16 think is related to your deployment -- and what I
17 have divided up here is the Reserve Component
18 soldiers versus the Active Component. This
19 referral for active duty of Tricare Direct Care
20 system will absorb these referrals. For the
21 Reserve Component, if need be, the service member,
22 the Reserve Component service member is retained on
23 active duty -- that's what ADME is, active duty

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 medical extension -- if he has unresolved medical
2 problems that require additional care, he stays on
3 active duty.

4 After he is released from active duty -
5 - and in this 90 days, we have a followup skin test
6 90 days later, TB skin test -- there's a program
7 certainly for National Guardsmen, Feds Heal, which
8 is part of -- they can provide immunizations and
9 they can do TB skin tests. So after the soldier
10 reverts from active duty, then any followup,
11 including followup skin test, will be performed
12 through that mechanism.

13 (Slide)

14 In terms of the issues that we have and
15 some of the things we're working on right now, of
16 course, as I mentioned, we have intense
17 congressional, OSD and Army command interest in
18 full compliance as best we can. And as Dave
19 mentioned, having new requirements laid on while
20 we're in the midst of planning complicates things a
21 bit. However, we are working with the in-theater
22 elements to try to accomplish a smooth and 100
23 percent accountability for soldiers coming out of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 theater.

2 We also have -- we have been working
3 quite a while on an automated pre- and post-
4 deployment questionnaire. We had just done the
5 two-page 2796 when we have a four-page, and so
6 that's delayed this somewhat. Now, according to my
7 calendar, 19 May was two days ago, we still don't
8 have -- I have not seen that new expanded form in
9 the automated format yet, that I've been able to
10 navigate. So I think we're maybe a couple of days
11 behind there. The idea here is that what this
12 allows -- and, again, it addresses the requirement
13 that this form not only is filled out by the
14 soldier, reviewed in a face-to-face encounter with
15 a health care provider, kept in his medical record,
16 but there's also a copy -- the data from that form
17 need to be transmitted to our central database as
18 part of the defense medical surveillance system
19 maintained by Army Medical Surveillance Activity.
20 So right now, with a paper copy, you need to make a
21 copy of this thing and mail it in after -- and I
22 can present data next time, if you like, on how
23 we're doing with the pre-deployment forms. The

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 post-deployment -- and we thought the automation
2 would at least allow the transmission of digital
3 information from a form that's filled out online or
4 on an automated way, we could at least eliminate
5 the need to do xeroxing and mailing, but we don't
6 have that capability just yet.

7 And then, finally, the final part of
8 this was to allow health care providers to have
9 "read only" access to automated forms 2796 through
10 Tricare Online, through this Internet base -- and
11 it's role-based accessed, so health care providers
12 only -- so type in a soldier's Social Security
13 Number and have access to all of their pre- and
14 post-deployment questionnaires that they've ever
15 filled out. Right now, we've got -- they just cut
16 the cake at the Army Medical Surveillance Activity
17 last week, that they processed their 1 millionth
18 pre- or post-deployment form, so we have over 1
19 million forms that are in this database that are
20 available for review. Right now, even with the
21 paper forms, the process is the image of the form
22 when it's mailed in gets scanned, so the Pdf
23 version image of the form is available for review

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 in an automated way. You can call it up. Then the
2 data form the form are also hand-entered, and
3 that's where the holdup is going to be for this
4 additional two pages with a lot more data on it,
5 lot more data entries. Data entry is going to be
6 held up. That's why it's even more important this
7 automated system needs to come online. But for the
8 first time, even if the soldier has no form in his
9 medical record for whatever reason, the health care
10 provider can still get access to those particular
11 forms. If they've been completed and mailed in, at
12 least he'll be able to call those up and see them.

13 The only other thing I wanted to touch
14 briefly on -- I know we've mentioned it several
15 times -- has been the malaria cluster that's
16 occurring in Army Rangers. Army Rangers is a
17 regiment of Rangers that are stationed at three
18 posts around the United States, one battalion at
19 each post, and the Headquarters of the regiment are
20 at Fort Benning, Georgia. We have reports of a
21 cluster of 10 cases among Rangers since late April.
22 All cases of malaria have been vivax malaria.
23 Talking to the Regimental Surgeon, they have a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 total of 23 cases that they've accumulated since
2 roughly last fall. All the soldiers that have had
3 malaria can be traced to a deployment to
4 Afghanistan or Pakistan in the fall time frame.
5 Interestingly enough, and the thing that sort of
6 distracted us for a bit, was that a subset of that
7 group also went to the Iraqi Freedom Operation.
8 I'm not exactly sure where all they deployed during
9 that time. However, these cases, the 10 recent
10 cases occurred shortly after they finished. They
11 were on doxycycline prophylaxis for Iraq, and then
12 just stopped it abruptly because they didn't think
13 they had any malaria exposure.

14 So it suggest to us that there may have
15 been some exposure, but then they make the case
16 that all of these soldiers were at a place where
17 other soldiers who didn't go to Iraq have come down
18 with malaria, and so they feel it's all related to
19 this earlier deployment. Again, it speaks to
20 compliance because they feel that each of those
21 cases has specific compliance issues, especially
22 with primaquine terminal prophylaxis.

23 That's all we have at least on that,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 unless there are specific questions.

2 DR. OSTROFF: Thanks. Let me open it
3 up to questions or comments from the group.

4 LtCOL. GIBSON: I'd like to add on this
5 issue of the clinical practice guideline, the issue
6 of Guard and Reserve personnel, that when they come
7 off of active duty, if they've served in a combat
8 environment, they are authorized two years of VA
9 health care without having to prove service
10 connectivity. So they basically can get medical
11 care for a two-year period. The VA is also using
12 the same clinical practice of post-deployment
13 clinical practice guideline.

14 MS. EMBREY: I think from a policy
15 perspective there are some other changes that
16 weren't highlighted that I think are important for
17 you to know. One is that there is a re-emphasis
18 (inaudible) on making sure that these forms enter
19 the institution permanent record on that individual
20 as opposed to the individual hand-carried record.
21 So that the DMSS data collection as a central point
22 is not the institution's individual data record on
23 the individual, that that individual's medical

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 record maintain's this data as well.

2 Secondly, I think it's also important
3 to know that this new policy required the services
4 to develop an implementation plan to identify
5 specifically how they would execute quality
6 assurance on the program which is a requirement as
7 well. (Inaudible words) which means it probably
8 will not be as successful for future redeployments,
9 but I think everybody in the services especially
10 are (inaudible) and trying to do the best they can,
11 but this could change at the (inaudible).

12 DR. OSTROFF: Thanks. Now that I've
13 had a chance to take a look at the form, there are
14 a lot of certainly interesting and potentially
15 problematic questions on this form, and I'm
16 wondering how geared up the services are in terms
17 of handling what some of the responses may be to
18 some of these questions. I mean, a lot of people
19 said yes to some of these very sensitive clinical
20 health questions, I mean, at least they would have
21 to be in the system to be able to address those
22 concerns. This doesn't mean that 50,000 people
23 actually (inaudible words).

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 COL. DeFRAITES: The consensus in the
2 Army at least in the behavioral health community
3 felt that these questions fell within the scope of
4 a provider which could handle at least the initial
5 (inaudible words). That is not to say that 50,000
6 (inaudible words). They felt from previous
7 experience with similar type questions that there
8 was a reasonable expectation that for the most part
9 (inaudible words).

10 DR. OSTROFF: I'll just point out that
11 some of these questions (inaudible) psychiatric
12 intervention and hopefully there won't be a lot of
13 --

14 COL. DeFRAITES: I think that was their
15 plan actually because (inaudible) --

16 (Technical malfunctions prevented
17 adequate recording of discussion.)

18 LtCOL. WOODWARD: The Air Force, in our
19 preparation, that very potentiality is that we have
20 the knowledge and we have folded up in our
21 (inaudible) of what the impact would be on this,
22 that this will be obviously a priority of care for
23 our redeploying personnel doesn't push other care

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 into the civilian network. In other words, we
2 would (inaudible words).

3 (Technical malfunctions prevented
4 adequate recording of discussion.)

5 CAPT. SCHOR: I would just say that
6 like Col. Woodward said, the Marine Corps realizes
7 that many of the Marine Corps bases there's not
8 much in the way of medical support is with them, so
9 they did improve the combat stress and all that
10 sort of stuff, combat stress and deployment stress
11 are equal opportunity threat. The medical folks
12 (inaudible words), so they're doing screening.

13 (Technical malfunctions prevented
14 adequate recording of discussion.)

15 MS. EMBREY: I would just comment that
16 if you don't know you have a problem with limited
17 resources until (inaudible), and if you don't know,
18 you don't know. So I think that we're all going to
19 be learning from experience. I think that, again,
20 this post-deployment assessment (inaudible words)
21 and we do have specialists in the Reserves that we
22 could call upon if we need it, and that we have
23 other specialists that we can refer to (inaudible

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 words), but it's a matter of resourcing. And I
2 think that (inaudible words).

3 DR. GRAY: Let's be optimistic and say
4 that the data that you summarize is very favorable
5 in the sense that there are not a lot of evidence
6 or morbidity. As Roger suggested, it would be very
7 strategic to get that information out to the public
8 as quickly as possible that is the case.

9 DR. OSTROFF: Other comments? Dana.

10 COL. BRADSHAW: Just as we looked at
11 deployment questionnaires in the past, we did have
12 a much more generic short kind of mental health
13 screening, and there were deferrals from every
14 deployment. And when we've looked at those in the
15 past, most of them -- it was over 90 percent -- got
16 followup. Most of them were in the primary care
17 clinics, and there were secondary referrals to the
18 specialist. In the mental health arena it's about
19 at the same rate that they normally get referrals
20 from primary care clinics (inaudible). We have
21 that data. It may be more from this, with more
22 violent combat and so on.

23 COL. GARDNER: Just two quick points.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 One is you have to recognize the setting that these
2 are being filled out, and they are people who are
3 on their way home after being gone a long time, and
4 anything that they admit to at that point may
5 result in delaying their getting home to their
6 families. And so unless they've had serious
7 problems, they're probably not going to be picked
8 up at this point in our process. They'll be picked
9 up later on through our clinical practice guideline
10 process.

11 And, secondly, the intent is not to go
12 from this screening directly to a specialist unless
13 there is a really severe problem because the mental
14 health community is very sensitive to the issue of
15 mental health referrals affecting your security
16 clearance and your deployability and so on. So the
17 intent is that these referrals be handled in almost
18 every case at least first by their primary care
19 system.

20 DR. OSTROFF: Thanks. As you can tell
21 by the fact that the (inaudible words) we'll save
22 the last Preventive Medicine updates until after
23 the ceremony is finished.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Col. Riddle, are we ready to get
2 started?

3 COL. RIDDLE: Yes. I'm pleased to
4 introduce The Honorable William Winkenwerder, Jr.,
5 M.D., Assistant Secretary of Defense for Health
6 Affairs, who will present the Secretary of Defense
7 Medal for Outstanding Public Service to members of
8 the Armed Forces Epidemiological Board.

9 Other distinguished visitors in
10 attendance for today's presentation are Ms. Ellen
11 Embrey, Assistant Secretary of Defense for Health
12 Affairs; MG Lester Martinez-Lopez, Commanding
13 General, Medical Materiel and Research Command;
14 RADM Robert Hufstader, the Medical Officer of the
15 Marine Corps; Col. James A. Poland, U.S. Marine
16 Corps, Retired; Katelyn Marie Sheeley; Karen Poland
17 Sheeley; Kim Lea Holden; Jean Marie Poland, and
18 Alex Runyan. Please be seated. Dr. Winkenwerder.

19 DR. WINKENWERDER: Great, Col. Riddle,
20 thank you. Thanks to all of you for being here.
21 I'm delighted to be here today. Boy, this is a big
22 group. I hope you are having a good meeting. And
23 this is a nice time for us to come together and to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 celebrate something that represents our
2 appreciation for the great work that you've been
3 doing, certainly for your entire history, but more
4 particularly within the last year or two.

5 I need not tell you, though, my
6 prepared remarks tell me that I should say this, I
7 probably don't need to tell you about the history
8 of AFEB, but I will just say a word or two about
9 it. Conceived at the beginning of World War II,
10 established formally by what was then the Secretary
11 of War -- not the Secretary of Defense -- January
12 11, 1941. And under its initial charter, the AFEB
13 advised the Surgeons General and the Department of
14 War, but also conducted and directed specific
15 research programs through AFEB commissions. And
16 the history of this institution, of this august
17 body, is filled with some of the leading names in
18 the United States history of public health, people
19 like Kenneth Maxie, Dr. John Enders, Albert Sabin,
20 Theodore Woodward, Abram Beninson, Dr. Richard
21 Shope, father of Robert Shope, a current Board
22 member -- where is Bob Shope? You sure know the
23 history, I should not be saying anything to you.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 Dr. Gustav Damon, Anna Betcher, and Scott Halstead,
2 just to name a few.

3 So today we are here to recognize the
4 current members of the AFEB in the following way.
5 The Secretary of Defense Medal for Outstanding
6 Public Service is the second highest award by the
7 Secretary of Defense to private citizens whose
8 superior accomplishments and contributions to DOD
9 merit that special recognition.

10 During the period of January 1, 2002
11 through December 31, 2002, that two-year period,
12 the AFEB was asked to consider and make
13 recommendations on 31 emergent and complex health
14 policy issues. This is more than double the number
15 of issues from the previous two-year period and
16 significantly greater than any other two-year
17 period in the history of the Board. I knew you
18 were working hard, but I didn't know you were
19 working that hard. But that is really truly
20 impressive.

21 I need not tell you, but your work is
22 done without compensation. It is done in a way
23 that requires a considerable amount of time,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 roughly 30-person days of work per year, 240 hours
2 of consultant time per recommendation, and a cost
3 if you were to add all this up of many millions of
4 dollars, and so that is truly outstanding work.

5 The accomplishments of AFEB are
6 realized through the selfless dedication of each of
7 you, motivated -- it could only be without getting
8 compensated for all this time and work that you put
9 into it -- by your patriotism, your good
10 citizenship, and your sense of public
11 responsibility to the health and welfare of our
12 service members, and for that I am deeply
13 appreciative.

14 You operate under intense media
15 scrutiny, congressional scrutiny, and so it's a
16 unique set of requirements. You understand the
17 unique requirements of the military and the things
18 that we do and ways that frankly just very few
19 others, if any, really understand that, and so that
20 is very, very important.

21 The distinctive accomplishments of the
22 current AFEB members and their volunteer service to
23 our nation and commitment to the health of the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 military service members are appropriately
2 recognized at this time with the award of the
3 Secretary of Defense Medal for Outstanding Public
4 Service. The members of the AFEB bestow great
5 credibility upon our military medical programs, and
6 they do so by preserving their independence. Our
7 successes in military medicine are greatly
8 supported through the individual personal and
9 professional integrity of AFEB members, and I'm
10 honored to preside over today's ceremony.

11 And with that, Col. Riddle, if I could
12 ask you to provide the orders.

13 COL. RIDDLE: Please stand.

14 Attention to Orders: Citation to
15 accompany the Secretary of Defense Medal for
16 Outstanding Public Service to members of the Armed
17 Forces Epidemiological Board for exceptionally
18 outstanding public service, Office of the Assistant
19 Secretary of Defense for Health Affairs from
20 January 2001 to December 2002.

21 The AFEB's understanding of the unique
22 military environment and requirements, as well as
23 the needs of the Soldier, Sailor, Marine, and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 Airman resulted in strong and effective medical
2 research in preventive medicine programs for
3 individuals who served in the Armed Forces. The
4 ability to seek timely independent scientific
5 advice from a committee of experts has been and
6 will continue to be critical to the Department's
7 ability to meet its obligation to safeguard and
8 conserve the health of military members worldwide.

9 The many accomplishments of the AFEB are realized
10 through each member's selfless dedication,
11 unparalleled patriotism, and shared sense of public
12 responsibility for the health, welfare and
13 readiness of the men and women of the United States
14 Armed Forces.

15 For these and many other contributions,
16 I take great pleasure in presenting members of the
17 AFEB the Secretary of Defense Medal for Outstanding
18 Public Service. Signed, Donald H. Rumsfeld,
19 Secretary of Defense.

20 Please be seated.

21 DR. WINKENWERDER: Ellen Embry, would
22 you please join me. Ellen is the Designated
23 Federal Official for the Board.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 Dr. Steve Ostroff. Steve will join us
2 in accepting the award. Steve is the current
3 President, and obviously is a person of great skill
4 and experience, and just knowledge and appreciation
5 both for public health and the unique needs of the
6 military, and I've really enjoyed working together
7 with you, Steve, this time during your tenure.

8 (Whereupon, the awards were presented
9 to Dr. Ostroff.)

10 (Applause.)

11 COL. RIDDLE: When I call your name,
12 please come to the stage for pinning of the medal,
13 and please remain on the stage for a group
14 photograph.

15 Dr. Linda Alexander.

16 (Whereupon, the medal was presented to
17 Dr. Alexander.)

18 (Applause.)

19 Dr. David Atkins.

20 (Whereupon, the medal was presented to
21 Dr. Atkins.)

22 (Applause.)

23 Dr. Douglas Campbell.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 (Whereupon, the medal was presented to
2 Dr. Campbell.)

3 (Applause.)

4 Dr. Jacqueline Cattani.

5 (Whereupon, the medal was presented to
6 Dr. Cattani.)

7 (Applause.)

8 Dr. Barnett Cline.

9 (Whereupon, the medal was presented to
10 Dr. Cline.)

11 (Applause.)

12 Dr. Jean Forester.

13 (Whereupon, the medal was presented to
14 Dr. Forester.)

15 (Applause.)

16 Dr. Gregory Gray.

17 (Whereupon, the medal was presented to
18 Dr. Gray.)

19 (Applause.)

20 Dr. Julian Haywood.

21 (Whereupon, the medal was presented to
22 Dr. Haywood.)

23 (Applause.)

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Dr. John Herbold.

2 (Whereupon, the medal was presented to
3 Dr. Herbold..)

4 (Applause.)

5 Dr. Grace LeMasters.

6 (Whereupon, the medal was presented to
7 Dr. LeMasters..)

8 (Applause.)

9 Dr. Leon Malmud.

10 (Whereupon, the medal was presented to
11 Dr. Malmud.)

12 (Applause.)

13 Dr. Kevin Patrick.

14 (Whereupon, the medal was presented to
15 Dr. Patrick.)

16 (Applause.)

17 Dr. Gregory Poland.

18 (Whereupon, the medal was presented to
19 Dr. Poland..)

20 (Applause.)

21 Dr. Carol Runyan.

22 (Whereupon, the medal was presented to
23 Dr. Runyan.)

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 (Applause.)

2 Dr. Dennis Shanahan.

3 (Whereupon, the medal was presented to
4 Dr. Shanahan.)

5 (Applause.)

6 Dr. Robert Shope.

7 (Whereupon, the medal was presented to
8 Dr. Shope.)

9 (Applause.)

10 Unable to attend this afternoon but
11 also receiving the Secretary of Defense Medal for
12 Outstanding Public Service are Dr. William Berg,
13 Dr. John Glen Morris, Dr. Elizabeth Barrett Conner,
14 Dr. William Moore, Dr. Philip Landrigan, Dr. Pierce
15 Gardner.

16 Please join us in congratulating the
17 members of the Board.

18 (Applause.)

19 We're going to take some group photos,
20 and then please join us outside for refreshments.

21 (Whereupon, a short recess was taken.)

22 DR. OSTROFF: Capt. Yund, the Board
23 would absolutely love to thank you for all the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 great assistance and support we've had from the
2 Preventive Medicine Liaison from the Navy. We will
3 definitely miss you, and we're looking forward to
4 your last update.

5 CAPT. YUND: Okay, great. Thanks. And
6 I don't see any reason why we can't have one of
7 these in Sicily.

8 DR. OSTROFF: I'll second that motion.
9 Just tell us when.

10 (Laughter.)

11 CAPT. YUND: I've been asked to talk a
12 little bit about individual medical readiness. I
13 think that you heard a little bit about individual
14 medical readiness at the last meeting, but things
15 have moved forward a bit and I want to give you an
16 update.

17 I have been working on a working group
18 that Ms. Embrey organized under her Force Health
19 Protection Council. All services have had
20 representatives on this working group, and I'll
21 brag a little bit that we actually came to not just
22 consensus, but unanimous consensus on what we
23 wanted to do, what we thought was a good thing to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 do as far as individual medical readiness, and I'll
2 just tell yo a little bit about what all that is.

3 (Slide)

4 First of all, medical readiness is
5 medical readiness to deploy, but I want to make a
6 distinction here between medical readiness to
7 deploy and deployability. Deployability is more a
8 decision that's made by the line warfighter, am I
9 going to take this person on deployment or not.
10 That's not our bailiwick, but certainly it is our
11 bailiwick to make a determination about whether
12 someone is medically ready to deploy.

13 Whatever scheme you use for individual
14 medical readiness, it has to be based on certain
15 criteria, and I'll share those with you in a bit.
16 There's been a lot of visibility on individual
17 medical readiness to very high levels in DOD, and
18 it's an item on the military health care system
19 balanced scorecard, so it's reported out at a
20 fairly -- well, it will be reported out at a fairly
21 high level when we get all of it organized.

22 (Slide)

23 So, who really needs this information

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 about whether people are individually medically
2 ready, and the answer is really people at all
3 different levels within DOD, within the services.
4 Certainly, this kind of information is going to be
5 useful to the front line warfighter so that he
6 knows how medically ready his folks are to head off
7 into the hinterlands and do good work.
8 Headquarters at a number of different levels,
9 including service headquarters and also DOD, OSD --
10 this is an item that Mr. Rumsfeld asks questions
11 about regularly. So that adds a little bit of
12 additional motivation for us.

13 (Slide)

14 This is jut a quick list of the six
15 what we determined were the most essential elements
16 of individual medical readiness. I'm not going to
17 read them down here because I have a slide on each
18 one of them, and we'll just move into those slides.

19 (Slide)

20 First is the periodic health
21 assessment. We talked quite a bit about that
22 yesterday. This criterion or category is something
23 that obviously the services have, for now anyway,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 different policies and procedures about. For the
2 Navy active component, it looks like we'll be
3 reporting on the active duty periodic physical
4 exam, which is every five years or every two years
5 or every one year, depending on your age, and we'll
6 also be reporting on the preventive health
7 assessment.

8 (Slide)

9 Deployment limiting conditions, again,
10 as specified by service policies. This is just a
11 few examples that you can see up there. I won't
12 elaborate on that any further.

13 (Slide)

14 Third category, dental readiness. This
15 is kind of a shining star in our little
16 constellation of individual medical readiness
17 because this is something that for quite a few
18 years now all of the services have been doing
19 exactly the same way. The classification is the
20 same. The definitions are the same. So this is
21 something that was very easy to import into the IMR
22 classification scheme.

23 (Slide)

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Immunizations, again, to a degree,
2 according to service policy. There are certain
3 immunizations that are handled uniformly across the
4 services. I have those listed there. And there
5 are other immunizations that are not handled
6 exactly the same way across the services. We may
7 be moving toward more uniformity among those ones
8 that aren't uniform right now, but there still are
9 some significant differences among the services.

10 (Slide)

11 Again, there are some differences in
12 what we refer to as medical readiness laboratories,
13 things like HIV, DNA on file. Some services do
14 G6PD and/or Sickle trait, some don't. At this
15 point, though, it's these readiness labs will be
16 reported according to each service's policy.

17 (Slide)

18 Individual medical equipment is the
19 last category. Simple things such as eyeglasses,
20 two pairs of eyeglasses -- simple, but very
21 important. If somebody who doesn't have 20/20
22 vision or perhaps doesn't have anywhere near 20/20
23 vision heads off across the world on an important

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 mission and doesn't have a spare pair of glasses or
2 doesn't have current glasses, that can clearly have
3 -- even though it's a sort of pedestrian item, it
4 can have an impact on that person's ability to
5 accomplish their little piece of the mission.

6 (Slide)

7 So now the IMR classification, each
8 individual, each person who is reported on, fits
9 into one of these four categories -- one and only
10 one. So a person is fully medically ready if they
11 are current in everything, and dental Class I or
12 II. Dental Class II allows fully medically ready.
13 Someone is partially medically ready if they need
14 simple things that can be acquired or taken care of
15 in a short amount of time. Someone is not
16 medically ready if they have a deployment limiting
17 condition or if they are Dental Class III. And
18 they are in this unknown or indeterminant category
19 if their health assessment is overdue or if their
20 records are missing, or something like that.

21 Now, there's the possibility that
22 somebody could appear to fit into more than one of
23 these, but it's a business rule of ours as far as

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 IMR that they are only in one category. So if
2 someone has a missing health record -- well, that's
3 a bad example because -- if someone has a
4 deployment limiting condition, but other than the
5 deployment limiting condition they simply need a
6 couple of minor things -- immunizations, whatever -
7 - the deployment limiting condition trumps and they
8 end up in the not medically ready category.

9 (Slide)

10 How will this be reported? It's
11 already being reported quarterly to Health Affairs
12 through the balanced scorecard, and our initial
13 metric is the percentage of personnel across the
14 service who are fully medically ready. There are
15 lots of ways to slice-and-dice this. You can look
16 at the people who are in -- you could look at the
17 percentages of people who are in all four of the
18 categories, but this we think is the baseline most
19 important, most significant way to look at
20 individual medical readiness.

21 The services owe their implementation
22 plans and timelines for how long it's going to take
23 to be able to do this, to have their reporting

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 system online and be able to report against all six
2 categories by the 2nd of June. The Health Affairs
3 Policy Memorandum was signed out on the 2nd of May.

4 (Slide)

5 So how are the different services
6 actually going to accomplish this as far as
7 retrieving the data that will be used to calculate
8 how many people are in the fully medically ready
9 status. The Air Force is far and away above the
10 rest of us with their PIMR system. The IMR is
11 individual medical readiness, but the "P" is
12 preventive health assessment individual medical
13 readiness.

14 The Air Force has been working on this
15 system for a number of years now, and it is
16 currently reporting on 5 out of the 6 categories.
17 The one that they are still working on is the
18 individual medical equipment.

19 The Army's in pretty good shape, too.
20 The Army has a system called MEDPROS, which
21 probably is a little bit behind the Air Force as
22 far as the number of categories that they are able
23 to report, but MEDPROS is a system that's been

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 around for a while and has good capabilities for
2 collecting the data that are needed to report
3 individual medical readiness.

4 The Navy and Marine Corps have SAMS,
5 and there is some individual medical readiness data
6 in SAMS, but we have very little central visibility
7 of that data at this point, and so we have a long
8 road ahead of us to develop the capabilities that
9 are needed to report this the way we want to in the
10 future.

11 As far as Reserve Components, actually
12 the Navy Reserve is way out in front of Navy Active
13 Component with a system called RAMIS that is not
14 quite as capable as PIMR, but moving along pretty
15 well in that direction.

16 The Army Reserve I understand uses
17 MEDPROS, but the Army National Guard does not for
18 some reason. And Air Force Reserve and Air National
19 Guard use PIMR for several of the categories, but
20 they don't make quite as full a use of it as Active
21 Component Air Force does.

22 (Slide)

23 I wanted to mention just a few of the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 sort of stumbling block issues that we've been
2 dealing with as we create this system, and we've
3 been trying to move it along pretty fast. One
4 issue that we've discussed a number of times is in
5 any one category does a service report against its
6 full spectrum of policy items in that category, or
7 should we be reporting against common elements that
8 all services have in common. For example, with
9 immunizations, does it make sense for us to be
10 reporting -- for all of us to be reporting those 4
11 or 5 immunizations that we all have an identical
12 requirement for, or should each service be
13 reporting those things in addition that it has
14 additional requirements for? And as you've seen
15 through the slides, right now the status is that we
16 are going with service policy, but there's still
17 some discussion about how that's going to be in the
18 final picture.

19 Next issue, retrieval from existing
20 data sources versus data entry into a new database.

21 It would be very difficult for us to hand-enter
22 all of this information into a new dedicated
23 database for individual medical readiness. It's

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 really important for us to be able to retrieve the
2 data from existing databases so that it minimizes
3 the amount of labor and person-hours that are
4 chewed up collecting this data. That's our goal,
5 but that's also a problem because not all of the
6 databases exist yet. Some of them exist, but don't
7 have good connections to a central reporting site,
8 central visibility, and that's where this timeline
9 comes from. We need to accelerate that process and
10 make the changes that are necessary so that we can
11 access all this information from databases that
12 already contain the data rather than hand-entering
13 it from the health record into a Website or
14 whatever.

15 Next issue, system immaturity and how
16 to report in the interim. This is really another
17 aspect of the same issue. If it's going to take
18 the Navy six months to get to the full capability
19 of being able to report all 6 criteria, how do we
20 display the data in the meantime? The two reports
21 that we've given so far, the only thing that we
22 have central visibility on currently is dental
23 information. We have excellent dental information,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 and we can show that 95 percent of all people in
2 the Navy and Marine Corps are Dental Class I or
3 Class II. We don't have data for the other items.

4 So it's a little misleading, although it's the way
5 we've been showing the data. Our overall score is
6 95 percent because that's the only item we have to
7 report, but there are 5 other categories that we
8 are not able to report on. It's probably not fair
9 to the Navy and Marine Corps for us to report an
10 overall individual medical readiness of zero
11 because we don't have visibility of the data in the
12 5 categories, but it's certainly an over-estimate
13 that we are 95 percent well on individual medical
14 readiness.

15 So the same working group is devising a
16 mechanism to report what we have, but yet make it
17 clear where there are holes and where there are
18 data gaps.

19 (Slide)

20 And there's the e-mail if you want to
21 come to Siganella.

22 (Laughter.)

23 DR. OSTROFF: Thanks very much, Jeff,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 once again for all of your help. Let me open it up
2 to just a couple of questions. Let me point out
3 that we're now actually behind schedule, so we'll
4 have to try to pick up the pace a little bit.

5 I just have one very quick question,
6 which is are there measurable outcome objectives as
7 to what performance is supposed to be? Obviously,
8 we want 100 percent of personnel to be medically
9 ready, but (inaudible words). I assume you have
10 some milestones you want to try to attain.

11 CAPT. YUND: We need a target. If we
12 can continue to report the percent of personnel who
13 are fully medically ready, if that remains our
14 metric, clearly we need something to aim for so
15 that we sort of encourage ourselves to make
16 progress. And I don't think that it's been
17 identified exactly what that target or that
18 threshold needs to be. We're never going to get to
19 100 percent because there are always going to be
20 people who are on limited duty or for one of the
21 multiple reasons are not deployable. So the
22 compromise level of the metric I'm not aware that
23 we've settled on, but Ms. Embrey --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MS. EMBREY: The balanced scorecard
2 actually has targets -- I can't remember what they
3 are off the top of my head. I think we have for
4 the next three years different -- increasing
5 percentages of the force in each service to be
6 fully medically ready, and I think it starts at
7 like 60 or 70, and I'm not sure -- I think our
8 optimum is like 85 to 90 percent is where we're
9 trying to go as a target.

10 DR. HERBOLD: The level of readiness
11 sounds like a (inaudible) or operational readiness
12 discussion criteria. Is there a history to why the
13 CNO (inaudible) at the Navy level because I would
14 think that operational commanders have some sense
15 of the readiness of their force under their
16 command.

17 CAPT. YUND: Yes, sir, operational
18 commanders do have a good sense, and in the Marine
19 Corps an excellent sense, of what their level of
20 individual medical readiness is. The situation is
21 that there are multiple homegrown systems spread
22 around throughout the Army and Navy and Air Force
23 and Marine Corps that do this sort of thing, and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 they are not compatible with each other.

2 So, part of the push here was to come
3 up with something that would allow a similar scheme
4 of measuring similar metrics so that we could look
5 -- so that OSD could look across all of the
6 services at once. But I think what will happen
7 will be that this standardized IMR metric will be
8 the system that the individual services, of course,
9 and the line commanders and ship skippers end up
10 using because the data is going to be there for
11 them to look at, and they will all be able to look
12 at the same sort of data.

13 COL. DeFRAITES: This is Col.
14 DeFraites. I think from the Army perspective, it's
15 quite a bit different. Army readiness is based on
16 (inaudible), and from a personnel standpoint, in
17 general, if you've got manning persons assigned to
18 authorization in your unit, there is this -- my
19 perception is it's always been a presumption that
20 you never ask the question of, "Yeah, you've got
21 somebody in that slot, but are they medically ready
22 to go?" And it's only now that we're starting to
23 get into the details about there's more to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 readiness than just getting the person assigned to
2 that slot. The Army readiness reporting, I
3 believe, has been limited just to that. It's never
4 been Army-wide kind of individual level medical
5 reporting that says what percent of the force is
6 medically ready to go. I don't think the Army has
7 ever asked that question, or if they have asked the
8 question, they never got a good enough answer and
9 (inaudible words).

10 MS. EMBREY: And to give them the
11 credit, they've been the workhorse in developing
12 this for us, and suggesting that I think that most
13 importantly it is a way to inform the commanders on
14 the demands that we are now going to put on them to
15 assure that these (inaudible) are met, not just for
16 forward deployment, but as a regular part of the
17 health maintenance (inaudible words).

18 DR. LAUDER: I don't want to confuse
19 the issue here, I think I understand it, but I'm
20 trying to put it in the perspective of what the
21 question was yesterday about (inaudible words), and
22 all the services don't have, for example, a pre-
23 deployment physical exam component -- for example,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 the Army does their physical exam every five years
2 (inaudible) four and a half years. The Navy is
3 saying as part of readiness not to be a physical
4 exam within the requirements of the Navy, and I'm
5 trying to put the two in the same (inaudible) and
6 come up with some sort of a consensus as to what
7 (inaudible) says and put it in context to the
8 original question about physical exams.

9 DR. OSTROFF: I guess the way that I
10 looked at it is, for instance, the (inaudible
11 words). I actually like this mechanism to begin the
12 process of some uniformity because (inaudible
13 words).

14 (Technical malfunctions prevented
15 adequate recording of discussion.)

16 CAPT. YUND: Let me just say in
17 response to Ms. Embrey's comment that the GPPM/PG
18 may have been the workhorse out there in the field,
19 but there was somebody behind the GPPM/PG with the
20 reins and the whip to crack.

21 DR. OSTROFF: Jeff, thanks very much.
22 Another individual that we'll be saying our
23 goodbyes to, Capt. Schor is going to give us the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 Marine Corps update. Ken, we'll miss you as well.

2 CAPT. SCHOR: Well, thank you. It's
3 certainly good to be here. It seems like we're
4 going to have some amphibious operations, if that
5 was rain on the roof here.

6 (Slide)

7 I just have two main topics on my
8 slides, but let's just hold it on this slide for
9 just a second. There was an interest in some of
10 the early look information on Operation Iraqi
11 Freedom, with some great concern about putting a
12 lot of caveats to this from my boss, Adm.
13 Hufstader, who was here earlier for the
14 presentation.

15 We have a database that is using
16 TRACES. TRACES is the system that gives folks a
17 ticket out-of-theater for MEDEVAC, so when they are
18 beyond the level of care that could be provided in-
19 theater -- be it at a field hospital, at the
20 hospital ship, that sort of thing -- then they are
21 entered into TRACES and they are MEDEVAC'd out-of-
22 theater, as you know, to (inaudible) and then on to
23 the D.C. area. This is a tool that was used by the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Commandant and the Assistant Commandant of the
2 Marine Corps to track bodies to actually personally
3 greet everybody that was MEDEVAC'd out-of-theater.

4 So we use this as a proxy for acuity.
5 If you were sick enough to get MEDEVAC'd out-of-
6 theater, we're hoping it was kind of significant
7 and it couldn't have been handled in-theater. Now,
8 we realize that there's a whole lot of issues. The
9 policy and the strategy is to MEDEVAC out-of-
10 theater and not to hold them in-theater like we
11 used to, so theater evacuation policy plays a role
12 and expectations for casualties play a role.

13 So our data suggests, after some
14 cleanup from some Reserve Medical Corps officers
15 that were helping us, that about 650-ish Marines
16 were MEDEVAC'd out-of-theater. About two-thirds of
17 those were for battle injuries. Approximately 25
18 percent were for disease, and about 100 of those
19 were MEDEVAC'd out for non-battle injuries,
20 whatever those may be.

21 Interestingly -- and some of this was
22 already initially reported in the Washington Post
23 for the Army. I think our general epidemiology is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 going to look somewhat similar. But looking at
2 battle casualties, battle injuries of those 400,
3 more than 50 percent were extremities, about 30
4 percent were torso and head injuries, and then
5 there's a very small collection of unknown. These
6 is based on ICD9 level coding that was put in this
7 TRACES system, which is essentially a ticketing
8 system for getting you on a flight.

9 I find it rather interesting that
10 approximately 21 percent of the MEDEVACs were due
11 to illness, not injury. I don't know what that
12 means. I don't know if that was driven by smallpox
13 concerns -- you know, we were giving smallpox
14 inoculations and side effects and need for
15 evacuation. That will certainly be something that
16 we need to look at and compare to active duty and
17 Reserve Component. There were approximately 72,000
18 Marines deployed into theater. About 20,000 of them
19 were Marine Corps Reservists. So that gives you a
20 sense of where things are. That's about all the
21 further I can get with that data, but we have a
22 database -- I have a Preventive Medicine Resident
23 coming next week, and we're going to let him chew

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 on this just a bit and see what we can find. But
2 recognize that that was a proxy for severity,
3 getting visibility on those that were not MEDEVAC'd
4 out is going to be a major effort, and it will be a
5 very difficult effort for us to get that whole
6 casualty and injury pyramid.

7 DR. OSTROFF: Do you have any
8 comparative information as to how that compares to
9 previous conflicts in terms of (inaudible words)?

10 CAPT. SCHOR: Well, the typical
11 teaching is that it's about 3 disease non-battle
12 injuries at least to every battle injury, but that
13 is whether they are MEDEVAC'd out-of-theater or
14 not. So this may skew some of those proportions,
15 and I don't have comparative data at this time. I
16 don't know if anybody else has any comments.

17 (No response.)

18 Next slide, please.

19 (Slide)

20 Just an update on this sports medicine
21 injury prevention initiative. That is basically
22 on-target, on-track. It's about 8 months into a 27-
23 month pilot effort. We're looking to get funding

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 in the budget at FY06 level because that's the only
2 budget that we can really influence. We have been
3 very grateful for the Commandant discretionary
4 reserve which has been significant. It's
5 approximately \$950,000 over two years that he has
6 contributed. He didn't have a whole lot of money
7 to spend, and he's put a lot of that money against
8 this effort.

9 We are developing the health and safety
10 reporting module that is glued onto the personnel
11 tracking system as recruits come in, so that
12 wherever that recruit is, whatever platoon they're
13 in, and when they complete training the Marine
14 Corps knows where they are, the DIs know where they
15 are. So this module is appended to that. It's
16 designed not to be a medical database, that's why
17 we call it a health and safety module, so we've
18 been very concerned about HPPA and are trying to
19 just collect non-medical data like what do you
20 think hurts, or where do you think you got your
21 injury, if it was acute. Obviously, stress
22 fractures are not acute, and there are a lot of
23 those. And that will be difficult to ascribe an

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 event or a training cycle or evolution to.

2 My analogy is that we're building a
3 ladder, an information ladder. One rail of the
4 ladder is this data system that the Marine Corps is
5 building on an Oracle database, and it's a Web-
6 based system. And it will be role-password
7 protected, so not everybody can see all the stuff -
8 - that basic level of approach. But that's one
9 rail. And, of course, the medical data is the
10 other rail, and at appropriate levels and with
11 appropriate attention to HPPA regulations, we're
12 going to have to be able to put those medical
13 databases and those administrative databases
14 together.

15 So, looking at how long a recruit stays
16 in training, and how do we appropriately minimize
17 that or optimize that training, get them back to
18 training and through the pipeline quicker -- it's
19 an industrial issue, but it costs us all a lot of
20 money when you're dealing with 40,000 enlisted and
21 3,000 officers per year. So we're very excited
22 about that, and we have some very competent folks
23 that are making that happen despite the Marine

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 Corps firewall issues that many of you have had to
2 deal with me on.

3 The first athletic trainer of the 6 is
4 starting today at Quantico where we train officers,
5 and we're building some new ground. Those are our
6 primary prevention keystones. They're going to be
7 out and about trying to find better ways to train,
8 trying to keep the DIs from perhaps asking the
9 recruits to do things that may not be appropriate.

10 And they will be providing that athletic trainer
11 approach to life.

12 And when we were out in Southern
13 California at the School of Infantry West, and at
14 the Weapons and Field Training Battalion up at
15 Pendleton, our athletic directors, the commanders,
16 the colonels out there know how to use their
17 athletic trainers. They are anxious to have them
18 and anxious to use them very appropriately. They
19 are walking the talk.

20 We realize that the operating forces,
21 that the warfighters are very different than the
22 training pipeline, and we're going to do some
23 pilots and maybe put the athletic trainers in gyms.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1
2 Navy BUMED is a key partner to this.
3 They have SMART clinics. They bring sports
4 medicine, and we have a continuum of care approach
5 there, and they are a great partner with this whole
6 effort.

7 Our biggest concern is our potential
8 for success, that the demand outstrips our ability
9 to implement the program, and how do you measure
10 effectiveness when everybody is trying to make the
11 system better, so multiple inputs.

12 (Slide)

13 This is somewhat of an eye chart.
14 We're actually in Phase II right now. We're
15 finalizing the database collection which is Phase
16 I, or the database building, and we're fielding
17 that. We're putting the athletic trainers in place,
18 and then we're going to move along from there. So
19 I won't spend anymore time on that. The timeline
20 is not a hard and fixed timeline, but it's based on
21 getting those capabilities and those metrics done.
22 Next slide.

23 (Slide)

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 This is just maybe a segue for my
2 successor. I'm been somewhat peripherally involved
3 with this whole issue. This relates to an off-base
4 drycleaner who was tainting the well water for base
5 housing down in beautiful Camp Swampy -- Camp
6 Lejeune. And you can see the timeline there. They
7 discovered it in the '80s. They closed the wells
8 off. Standards were put for these solvents shortly
9 thereafter, and ATSDR has been very much involved
10 in this issue. I guess it comes under CIRCLA.
11 Those of you that are CIRCLA experts, I'll have to
12 defer to your knowledge on that. But they did a
13 public health assessment and felt that there were
14 no adverse health effects to be expected amongst
15 the adults. And then I believe it was ATSDR that
16 realized that we had a unique opportunity to follow
17 birth outcomes, and so they were concerned about
18 the potential effects of these solvents on births
19 at Naval Hospital Camp Lejeune, among Navy and
20 Marine Corps dependents. And as the Navy and Marine
21 Corps helped identify about 12,500 folks who could
22 have been drinking that water in those housing
23 developments, and they are limited to not all

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 housing developments, but just a few geographically
2 select ones. And they've honed that down to about
3 150 cases of interest. These are birth defects and
4 cancers. And they are trying to get the medical
5 records out of St. Louis right now. And my
6 understanding is that the comparison group is going
7 to be the Metro Atlanta Birth Defects Database. We
8 don't know too much about that. And the bottom
9 line is we don't know anything about the outcomes,
10 although they have told us that in this area of
11 small numbers that they may note in this survey
12 some elevated rates compared to the Atlanta
13 Registry. They will not tell us what they are until
14 about nine days before this is released. This will
15 be released in the summer. If anybody is
16 interested, I can speak off the record. I know the
17 date, but I'm prohibited from putting that in
18 public record as this is.

19 There's a lot of lawsuits that are
20 already on file from everybody that had any adverse
21 outcome, whether they were born there or not. This
22 is a classic sort of Love Canal kind of scenario.
23 There are millions of dollars of lawsuits. Some of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 them will meet validity criteria, some will not.
2 So there's a very concerted effort by the Marine
3 Corps to bring the lawyers together, to bring the
4 public affairs folks together, and to work on the
5 messages and the risk communication with ATSDR. And
6 so we're very much a partner with ATSDR. And you
7 can see where the future is, that they are going to
8 propose a case control study. The next slide will
9 take care of that.

10 (Slide)

11 So this is where I think my concern has
12 been, that perhaps AFEB may play a role in this.
13 It turns out that it may be a little bit less than
14 I perhaps had thought, but we think that perhaps
15 reviewing the case control study design may be a
16 value-added input to this study design. This has
17 gone up through some very strange channels in terms
18 of looking at it, and the whole issue of human use
19 and all that has really not been done to this
20 point, even on the current survey. So it's sort of
21 an interesting issue that we have been trying to
22 support the ATSDR, and I'm not quite sure we
23 shouldn't be a more equal partner in this whole

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 process because we bear the burden of the tail of
2 this with trying to explain what the current study
3 doesn't tell you, and trying to manage the over-
4 inflated expectations at this point that this
5 survey that's coming out this summer will provide
6 all the answers in the world to all the adverse
7 birth outcomes that are going to be ascribed to it,
8 so the causality issue comes again. And there is,
9 of course, congressional interest in this. So
10 that's on the horizon, and we may need to ask for
11 an off-cycle review by the subcommittee at least of
12 the study, to get some comment on it, and I think
13 that my successor will be able to provide an
14 update, an early update in September.

15 (Slide)

16 And that's about all I have to present.
17 My successor is Cdr. Dave McMillan. He is an
18 occupational environmental medicine physician,
19 currently working with the submarine base down in
20 Georgia, and I'm headed to do things over in
21 Stability Operations with HIV/AIDS, and they did
22 the stability parts for Gen. Gardner in Iraq, which
23 will hopefully go to some other federal agency

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 before I get there. So thank you for your support
2 and help and the camaraderie. Any questions?

3 (Applause.)

4 DR. OSTROFF: Thanks, Ken, very, very
5 much for your service, and I know I maybe kiddingly
6 say that we could refer to the sports medicine
7 initiative as (inaudible), for those Board members
8 (inaudible words), we're very much looking forward
9 to visiting at some point (inaudible words).

10 CAPT. SCHOR: Less people hopefully,
11 absolutely. Thank you.

12 DR. OSTROFF: Questions?

13 (No response.)

14 If not, thanks again, Ken. Good luck
15 with the new assignment. Our next update will be
16 from Kelly Woodward, and I don't have to say
17 goodbye to Kelly because he'll be here at the next
18 meeting.

19 LtCOL. WOODWARD: Thank you. Well,
20 while we're getting the slides up, I would like to
21 make two comments. First is that I very much
22 appreciate the opportunity to attend that wonderful
23 award ceremony this afternoon, that was truly a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 moving event.

2 Secondly, just for the record, based on
3 our discussion earlier this morning about malaria
4 prevention, I wanted to make sure everybody was
5 clear, we don't promote, endorse -- and, in fact,
6 we do discourage -- the use of flea and tick
7 collars for humans.

8 (Laughter.)

9 COL. DeFRAITES: Same for us.

10 LtCOL. WOODWARD: Yes, I believe all
11 the services do. All kidding aside, it is
12 interesting, we know our people have actually
13 chosen to do that, and some legends, as Col.
14 DeFraites described, have arisen about that, and
15 that's a very troublesome thing.

16 (Slide)

17 What I want to do this afternoon just
18 very briefly is talk to you about the recent past
19 and current steps we're taking in the Air Force on
20 a journey toward precision use of our preventive
21 countermeasures in our various prevention programs,
22 and I'm going to talk about some policy actions we
23 are taken and have taken in these couple of areas.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 One is regarding vaccines, some policy changes for
2 Yellow fever vaccination and Typhoid vaccination,
3 and then some changes we are working currently for
4 our TB, latent tuberculosis screening program, and
5 I do want to note that the May of 2003
6 recommendation, AFEB recommendations on Quantefuron
7 (phonetic) included a recommendation that the
8 services update the Board on changes to TB
9 screening policy, so here you'll hear what the Air
10 Force is preparing and planning to do.

11 In the Air Force, because of the way we
12 organize and the way we deploy personnel, we have
13 what I would consider an increasing ability to
14 assess risk for various diseases down to the
15 individual level and down to the very specific
16 location and conditions in which they might be when
17 they deploy, and an ability to easily track down to
18 the individual level what countermeasures we
19 recommend and whether or not they've received them.

20 And then, finally, and most importantly perhaps,
21 the ability to actually execute specific
22 countermeasures down to the individual level, even
23 if several individuals in a unit have a mixture of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 differing recommendations.

2 (Slide)

3 The first issue is regarding Yellow
4 Fever and Typhoid vaccines. What we have in
5 practice had in the Air Force over the last many
6 years is a rather widespread of Yellow Fever and
7 Typhoid vaccine on a routine basis for personnel
8 who were in positions that would be considered
9 mobility positions or positions that would be
10 supporting deployments. This arose from what we
11 had called "alert forces", and in practice rose
12 into being, "well, everybody could deploy,
13 therefore, everybody must be alert forces", and so
14 in practice we have vaccinated -- and I'll show you
15 in a couple of slides the numbers -- we been
16 vaccinating large numbers of people with Yellow
17 Fever vaccine and Typhoid vaccine, many of whom
18 never left the Continental United States.

19 So we see an opportunity here to,
20 again, enhance our precision in using these
21 vaccinations, and our new policy which we have in
22 effect right now has done, has sent out very
23 explicit guidance to the field to dramatically

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 downscale the use of both Yellow Fever and Typhoid
2 vaccine, to use either or both of those vaccines,
3 as necessary, in people who have an immediate and
4 substantive risk, and that really meaning who are
5 truly about to travel to an area where there is
6 risk, with the exception of recognizing there are
7 very few personnel in special units who may truly
8 not have enough time to be vaccinated ahead of
9 time, who would be routinely vaccinated, but
10 otherwise we are discouraging routine vaccination
11 with Yellow Fever vaccine and Typhoid vaccine. We
12 think this is very consistent with national
13 recommendations and, again, we feel like we can
14 execute this.

15 The benefits that we anticipate are
16 that we will have better protection certainly
17 because we will be vaccinating more proximate to
18 when people deploy, and run less risk that they
19 will be in some period of perhaps waning immunity
20 and, of course, minimizing unnecessary vaccinations
21 and their potential adverse events. This is
22 consistent with some directions from combatant
23 commands.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 I know specifically CENTCOM no longer
2 has a theater requirement for Yellow Fever vaccine.

3 They recognize that much of their theater is not a
4 risk area for Yellow Fever, and that they ought to
5 be able to know that if people are going to those
6 few parts of their theater that truly where Yellow
7 Fever is a risk, those people can be vaccinated
8 accordingly. They also remove their requirement
9 for meningococcal vaccine except for people
10 traveling to the (inaudible) which is consistent
11 with recommendations, so there are other movements
12 to downscale, if you will, the use of some of these
13 vaccines and eliminate unnecessary blanket
14 requirements.

15 And just, by the way, what I didn't say
16 earlier about this issue is that in practice more
17 than half of the Air Force, that's half of the
18 total Active and Reserve Component, had fallen into
19 this program of being on mobility and requiring
20 some regular vaccinations when far fewer than that
21 actually went to the locations of risk.

22 (Slide)

23 The second one is targeting our

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 tuberculosis screening program. Again, another
2 program where we have had both a combination of
3 policy and practice that drove a very widespread
4 use of tuberculin skin testing to the point where
5 even as recently as last week I heard about one of
6 the people in the Surgeon General's office going to
7 the clinic and he was told that he was due for a
8 tuberculin skin test. He said why? And they said,
9 well, because you are a health care worker. And he
10 said, well, no, I'm not actually working in the
11 clinic, I'm at headquarters. I am not in any
12 situation where I would be around patients. And
13 they said, okay, then you're required because we
14 think you need one and we can't figure out any
15 other reason, but it's just easier to give it to
16 you than to discuss it. And we think we're moving
17 beyond that.

18 We think we now have the ability to
19 give people the tools to make more risk-based
20 decisions, and to target, again, our screening for
21 latent tuberculosis infection, and what we are in
22 the process of working right now is a policy that
23 eliminates the need for routine tuberculin skin

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 testing for our force in general except a baseline
2 at accession, and then only test people who fall
3 into a risk category, and we're trying to align
4 that as close as possible with what CDC's criteria
5 are for determining risk of exposure to
6 tuberculosis.

7 We think that this will greatly improve
8 the interpretation of the tuberculin skin test
9 because we'll be mostly testing people at risk, not
10 testing people who are not at risk. And, of
11 course, it will decrease unnecessary treatment, and
12 what we have found is that we spend a huge amount
13 of time in our Public Health offices chasing down
14 people who had a tuberculin skin test, who never
15 come back to have the test read, or at least are
16 reluctant to come back and have it read, and we
17 think we will actually be able to focus on people
18 who we really do need to know their status, and not
19 spend so much time focusing on the other whatever
20 percent it is that actually probably didn't need
21 the test in the first place.

22 So we're charging this hill and, so
23 far, from our field, the drafts we've sent out have

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 been very well received. Our Public Health people
2 are very eager to implement this policy because
3 they recognize that they can do this, and they can
4 do the risk stratification, people at the Air Force
5 Institute for Operational Health are going to be
6 helping us to support the field in making that risk
7 assessment even down to the individual level, if
8 necessary.

9 (Slide)

10 This will give you an idea of where we
11 see some potential for change with these three
12 different interventions. With Yellow Fever
13 vaccine, we have over the last three years
14 administered about 63,000 vaccinations per year. We
15 have had no cases of Yellow Fever in the past three
16 years. Typhoid, about 160,00 vaccinations per
17 year. We had two cases of Typhoid Fever in the
18 last three years, both of those people had been
19 vaccinated, interestingly enough.

20 TB screening, over 300,000 tests per
21 year. Fairly low positivity rate. We've had seven
22 cases in active duty of active tuberculosis, and we
23 know for sure five of those seven cases had

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 identifiable exposure risk to tuberculosis.

2 (Slide)

3 So we feel like we're really able to
4 move along and getting very precise use of these
5 preventive countermeasures. We aren't convinced
6 that this will be a perfect journey, but we will be
7 watching over the coming years, of course, for the
8 impact of these policy changes both on operations
9 in terms of our ability to meet the needs of our
10 deploying and traveling troops, as well as
11 watching, of course, for changes in disease rates,
12 fully recognizing that having no Yellow Fever cases
13 in the last three years isn't a reason to abandon
14 vaccination. We very much believe in the
15 importance of Yellow Fever vaccine, but not for
16 people who never left the Continental United
17 States. Thank you.

18 DR. OSTROFF: Thanks very much. Let me
19 open it up for any questions or comments from the
20 Board?

21 COL. DeFRAITES: Col. DeFraites. Just
22 a question. You say that you can trace down to the
23 individual, if necessary. The Army does things in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 sort of big lots (inaudible words). The risk of
2 delaying the decision until the last minute, then
3 all of a sudden you've got to give the service guys
4 immunization. If anything, our tendency has been
5 to try to go the other way, to avoid the last-
6 minute immunization of a bunch of (inaudible
7 words). In a way, that's just the opposite of what
8 you're proposing. I don't know if the Air Force
9 may be better (inaudible) deliver this service on
10 an as-needed basis, and maybe we in the Army might
11 be (inaudible words), and Navy and Marines, too,
12 about (inaudible), I think it's got a lot of merit.

13 Certainly, Yellow Fever is not without adverse
14 effects (inaudible words). It's interesting
15 (inaudible words), however, as I said, we've almost
16 from an operational standpoint gone the other way
17 and said let's see how much of this we can get done
18 ahead of time, without waiting to the last minute
19 (inaudible) at Polk Air Force Base giving people
20 shots (inaudible).

21 LtCOL. WOODWARD: And just to address
22 that, why we think this is executable, if you
23 will, in the Air Force is that the way the air

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 expeditionary force prepares to deploy is we have a
2 period of time that is really designated as a
3 preparation period of time before the forces who
4 are designated to deploy are expected to go, and
5 that is about a three-month period of time, at
6 which point there is a series of steps in assessing
7 where people are going, what their readiness status
8 is, and what things they might need. And our field
9 is feeling fairly confident that they can make in
10 time these decisions, fully recognizing, for
11 example, with Yellow Fever, I mean we want a good
12 two-weeks lead time before somebody deploys to give
13 them Yellow Fever vaccine so that they can develop
14 immunity. But another example of where we are in
15 the Air Force is we got calls from our component
16 command in support of CENTCOM about TB risk, and
17 they reminded us that the majority of Air Force
18 people who deployed in support of current
19 operations never left the Air Force installation
20 where they deployed to, and had essentially no
21 exposure to people who would have tuberculosis, and
22 we don't want to have to chase positive tests for
23 people who have no risk, or treat those people if

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 they have no risk for tuberculosis. So we're
2 working it.

3 DR. OSTROFF: Thanks very much. Why
4 don't we keep moving along. The next presentation
5 is from the Coast Guard, Cdr. Ludwig.

6 CDR. LUDWIG: Good afternoon. I just
7 want to say it's an exciting time to be the only
8 operational Preventive Medicine Officer in the
9 Coast Guard. There's a lot going on --

10 DR. OSTROFF: Possibly in homeland
11 security.

12 CDR. LUDWIG: Well, I'm not sure about
13 the, possibly. Fortunately, right now I'm able to
14 focus just on Coast Guard, and that's enough
15 because we are, as you know, a multi-mission
16 service.

17 (Slide)

18 Actually, there are two things mainly
19 that I want to talk about today. One is the impact
20 of SARS on the Coast Guard, and the second thing
21 will be the Coast Guard smallpox vaccination
22 program, a little bit about it.

23 The Coast Guard, as I said, is a multi-

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 mission service, and there were a lot of questions
2 when the issue of SARS came up, whether Coast Guard
3 may be at increased risk because of some of the
4 things that they do, such as boarding virtually
5 every vessel that comes into a U.S. port, including
6 some with people from all different parts of the
7 country and, as you probably know, some of them
8 with illegal immigrants from China and other such
9 places where SARS is a great concern.

10 The other thing is that -- another area
11 that the Coast Guard is concerned about was their
12 search and rescue mission in which they will attend
13 to any call for help off U.S. navigable waters,
14 regardless of what the person may be sick from, and
15 usually not knowing until they go to do the MEDEVAC
16 or the search or the rescue, what's going on.

17 And, finally, as related to all this is
18 what role the Coast Guard might have to play in
19 quarantine of people who might be of concern. So
20 we made a lot of contact and did a lot of talking,
21 and I learned some things that I never knew before.

22 One is that Federal law compels every vessel that
23 comes into a U.S. port to call ahead if they have

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 passengers or crew who are suffering from certain
2 infectious diseases or if they have a dead person
3 aboard, and that although this is Federal law, as
4 you can imagine, it is very, very difficult to
5 enforce. The Coast Guard has not, at least in
6 recent history, had any part to play in all this,
7 but because of their concerns about SARS, we
8 decided they needed a way to check ahead of time
9 what vessels might be bringing in people that might
10 have SARS.

11 (Slide)

12 So we developed a policy, and actually
13 it's fairly simple. Before boarding or rescuing,
14 they were to radio ahead and ask -- we had a list
15 of questions basically that determined whether
16 someone aboard fit the case definition for SARS,
17 and anyone who was going to have direct contact
18 with anyone who had suspected SARS, was to follow
19 infectious control guidelines, including hand
20 hygiene, N-95 masks, goggles and gloves, et cetera,
21 that they were to put a mask if at all possible on
22 the person who was suspected of having SARS, if
23 they could tolerate it and, if not, hopefully they

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 could put on some oxygen and that would serve the
2 same infection control process.

3 There were some specific concerns that
4 had to do with the aviation community, as I said,
5 with the search and rescue missions and any kind of
6 MEDEVAC from a vessel, and our concern was
7 especially with rotary wing. The CDC came out with
8 guidelines which some of you may have read, that
9 had to do with fixed wing aircraft -- the flow of
10 air, positive pressure, all those kinds of things,
11 cleaning up the aircraft afterwards -- but they
12 didn't have anything early on that had to do with
13 rotary aircraft. So when I asked the question, I
14 was told we were the first ones to ask, so we got a
15 product, and CDC is great like that, they really do
16 respond.

17 The last thing that they were supposed
18 to do -- and here's where we had sort of a new
19 action, which was that if we learned of somebody
20 aboard who was suspected of having SARS, we would
21 make sure that they notified the quarantine
22 authorities. That's new. It seems like an obvious
23 thing, it seems simple, but it was not previously

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 something the Coast Guard really thought about
2 much.

3 (Slide)

4 It did turn out that in Title 42 and 42
5 Code of Federal Regulations, the U.S. law gave
6 Coast Guard enforcement authority for quarantine,
7 but there had never been in recent history much of
8 a need to do that, and nobody was very concerned or
9 even very knowledgeable about what we would do in
10 that situation. So that was discussed and, because
11 of that, we established a coordination between the
12 CDC Division of Quarantine and the Coast Guard, and
13 we believe this is a new liaison and it's ongoing.

14 There's an MOU being developed, and I think this
15 will be a happy combination of expertise.

16 (Slide)

17 I want to start with my last slide.
18 When I talk about the smallpox program -- I
19 actually had several slides that I could have put
20 in here, but I wanted to keep it to one page, so I
21 picked out this one which shows a week-by-week
22 depiction of the percentage of people screened for
23 smallpox vaccination who were exempted for one

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 reason or another. And I show it because I think it
2 shows a remarkable evenness or straight line in
3 terms of what percentage were exempted. It does
4 turn out to be a greater percentage in the Coast
5 Guard than what's seen in the other services, and I
6 believe the reason for that is because, first of
7 all, a higher percentage of Coast Guard than of
8 other services is eligible or being considered for
9 vaccination. They are also not deploying out of
10 CONUS right away. So, in the other services where
11 they might be getting the vaccination as they
12 board, that's not so for the Coast Guard. And so
13 the close contacts at home have much more of an
14 impact on our exemption level.

15 (Slide)

16 And, lastly, my second to last slide, I
17 want to just bring up the topic again of adverse
18 events. I have about 50 -- actually, I had exactly
19 50 reports of adverse events associated with
20 smallpox vaccination. Most of them I actually have
21 VAERS for, which is pretty impressive, I think.

22 The number we have vaccinated looks
23 awfully small compared to the other services, but

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 it is a large percentage of our Active duty and
2 Reserve personnel. We have had, as you learned
3 yesterday, more than our share of pericarditis, or
4 suspected pericarditis in the Coast Guard than in
5 the other services. I have records of 17, I'm
6 certain that they're not all confirmed cases, but
7 they are all at least suspected cases of
8 pericarditis. And why is this that we have more
9 than our fair share? We touched on this yesterday.

10 I just want to emphasize again, about one-third of
11 Coast Guard patient visits occur at civilian
12 facilities. Civilians, I believe, in discussion
13 with a lot of people, civilian providers are much
14 more aggressive in their approach to chest pain
15 than are most military providers who see a lot of
16 chest pain due to costochondritis or other sort of
17 non-cardiac causes for chest pain. So, as was
18 mentioned, some of these people had to go back two
19 or three times to actually then be worked up for
20 pericarditis. That was not so in the civilian
21 community. I believe they treat every serious
22 chest pain as a possible cardiac event. It
23 obviously varies by provider, but I think much more

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 so in the civilian community.

2 Finally, we did have a cluster of
3 pericarditis, suspected pericarditis in Clearwater,
4 Florida. There were 6 of our 17 are in Clearwater,
5 although they are mostly in different units in
6 Clearwater. We also have a couple of other sites
7 that have at least two cases, and I suspect,
8 without looking into it further which I will do,
9 but I suspect that these are areas where a lot more
10 of the care is provided by civilians as opposed to
11 military. That's all I have for today.

12 DR. OSTROFF: Thank you very much. Are
13 there questions from the group?

14 (No response.)

15 I have one quick one. In terms of your
16 smallpox complications, do you know if the number
17 of pericarditis cases is similar to what's been
18 reported in the other services?

19 CDR. LUDWIG: In terms of symptoms?

20 DR. OSTROFF: No, in terms of
21 laboratory findings. I'm wondering whether
22 (inaudible words).

23 CDR. LUDWIG: No, I believe that they

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 are the same kinds of lab findings, complaints and
2 so on.

3 DR. OSTROFF: Thanks. Col. White.

4 COL. WHITE: Good afternoon. I should
5 point out, start off with, I'm not the official
6 representative from the U.K., that's Col. Mike
7 Staunton, who couldn't be here today. I arrived in
8 the U.S. November of last year, and just to confuse
9 matters slightly further, I replaced David Brown.
10 My previous assignment was Program Manager for the
11 Advanced Development and Acquisition of Medical
12 Countermeasures, so I was sort of a miniature and
13 slightly less expensive version of Col. David
14 Danley. And I'm really glad that I've had these
15 cross-hairs, that Col. Clayton referred to
16 yesterday, removed from me in this assignment.

17 (Slide)

18 There are three things I'd like to
19 share with you today, which are just really my
20 choice. Col. Riddle agreed that they might
21 interest you, I hope they do.

22 (Slide)

23 First thing is our strategic plan, if

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 you like, for preventing or managing post-war
2 syndromes. This was initiated as a result of a
3 paper provided in December by Professor Simon
4 Wesley, who is a civilian advisor to the Minister
5 of Defense, and will be well known to you probably
6 as an author of papers on Gulf War illnesses.

7 Professor Wesley recognized that
8 unexplained medical symptoms have been an
9 inevitable sequel to previous conflicts, and will
10 continue to be so. And he also asked the
11 rhetorical question, can we prevent another Gulf
12 War Syndrome, and answer his own question, no. So
13 perhaps this slide should really be entitled
14 "Managing Post-Conflict Syndromes".

15 I don't really intend to go through
16 each of these measures and report on how the MOD is
17 progressing, save to say that these matters are all
18 in-hand, if you like, for the current operation,
19 but I will pick up on one or two of the topics as
20 we go through them. This is not a 10-point plan,
21 unfortunately, it's an 11-point plan.

22 As far as the baseline data, I've got a
23 slide coming up to discuss that a bit further.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 (Slide)

2 Something that has been mentioned
3 earlier today, operational location tracking. We
4 have a sort of high degree of tracking available to
5 us now as far as troops going in and out of the
6 operational theater in Iraq, and a slightly less
7 well developed ability to track them within
8 theater, but hopefully that's improving.

9 (Slide)

10 My second agenda item, if you like,
11 deals with research. I won't talk about that just
12 now, and just talk about blood sampling for a
13 minute. Thank you for suggesting this wonderful
14 idea which got to the ears of our politicians, and
15 they said wouldn't that be a good idea to do this
16 thing, and after a bit of negotiation we have
17 persuaded them that we won't be taking blood
18 samples after this operation.

19 (Slide)

20 On to this item of post-conflict health
21 research, Op Telic -- not to confuse you, that's
22 what we call Operation Iraqi Freedom, and don't ask
23 me to explain what Op Telic is all about, but it is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Greek, I can tell you that. It's Greek to me.

2 Just to deal first of all with things
3 that we've got ongoing before we deal with the
4 actual post-conflict health research, this study
5 here has actually been completed, commissioned by
6 the Ministry of Defense, and it's available on the
7 Web if you want to have a look at it. It's
8 actually a very interesting study which compared
9 systems used by a number of countries, including
10 the U.S. And the second study which is truly
11 ongoing at the moment aims to validate the use of
12 pre- and post-deployment surveys, and we have
13 collected pre-deployment data from around 1,000
14 personnel.

15 Moving on to the actual post-deployment
16 health research -- and this was announced a couple
17 of weeks ago in Parliament, a program of research
18 to deal with possible physical and psychological
19 health concerns following the operation in Iraq.

20 As you can see, it involves a pilot
21 study of just a few people to get a feel for the
22 sorts of concerns that they are going to raise, and
23 that will be conducted as soon as people return

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 from their post-deployment leave. A larger sample
2 will be surveyed once they resume their normal
3 duties, although I'm not quite sure how we are now
4 going to define what normal duties are, considering
5 that this thing was written up I think when we had
6 a different perception of what might happen in
7 Iraq. Further work is required to determine the
8 size and the scope of the cohort and the control,
9 and to develop the protocol and design the
10 questionnaire, and this is unlikely to take less
11 than six months to actually kick off, if you like.

12 I just got back from that one. These
13 two studies here and the clinical evaluation of any
14 concerns arising, and these are being conducted by
15 Professor Wesley's group at King's College, London.

16 (Slide)

17 The compilation of exposure data will
18 be conducted by the Institute of Occupational
19 Medicine in Edinburgh, and the other items will be
20 conducted inhouse, apart from the uranium testing
21 will be conducted within the Ministry of Defense
22 Laboratories and using an independent laboratory.

23 The Medical Assessment Programme is the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 equivalent of your Post-Deployment Health Center,
2 and basically that was set up for veterans of Gulf
3 War I, and it's going to be made available to
4 everyone who is involved in this operation.

5 MS. EMBREY: I'd like to thank you for
6 that last bullet because it's the equivalent of
7 what we are now --

8 (Laughter.)

9 COL. WHITE: Thank you.

10 (Slide)

11 And, finally, I was hoping to be able
12 to brief you on the findings of this important
13 review, the MOD commissioned, but unfortunately --
14 it was meant to be published today, but it is now
15 not going to be published until Thursday. So if
16 you are interested in having a look at it, I must
17 say it hasn't got any surprises for those that are
18 involved in Gulf War illness research, but it may
19 prove some disappointment for some of the veterans,
20 I have to say. You can access that from the MRC
21 Website, but if you go to publications/press
22 releases, you have to drill down quite a bit to
23 find it. I'll just give you a very quick overview

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 of the process and the objectives. I'm not quite
2 sure why no one from the DOD came, I think the
3 invitation list was entirely in the hands of the
4 MRC and maybe they only knew about people from the
5 VA, I don't know.

6 (Slide)

7 These are the objectives. Look at the
8 report if you are interested, I'll leave it at
9 that. Thank you.

10 DR. OSTROFF: Thank you very much. Let
11 me ask if there are any questions?

12 (No response.)

13 I'm curious, can you speak to the
14 quality of the uranium test?

15 COL. WHITE: To the quality of it?

16 DR. OSTROFF: (Inaudible.)

17 COL. WHITE: We have a thing called the
18 Depleted Uranium Oversight Board, which has its own
19 Website, which is a bunch of independent scientists
20 and one or two veteran representatives, whose job
21 really is purely to validate the laboratories being
22 used to conduct the uranium testing. I can give
23 you a bit more information on that later, if you

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 want. But, yes, we're pretty sure that we're doing
2 a reasonable test.

3 DR. OSTROFF: Other questions?

4 (No response.)

5 Thank you. Our last update will be
6 from Col. Fensom.

7 LtCOL. FENSOM: Good afternoon. In my
8 never-ending quest to save you from the abominable
9 fate of death by PowerPoint and also to help you
10 catch up, I would seek your indulgence in making
11 some informal comments.

12 The first one is probably the most
13 important because it comes with wishes from our
14 Surgeon General Col. Cameron, and our Director
15 General Health Services Gen. Matu (phonetic), and
16 that is to pass on, along with my own, of course,
17 our very sincere condolences on your lost comrades-
18 in-arms most recently in these operations. For
19 myself, having been around to see soldiers from
20 Canada and from other countries as well as yours
21 die in some pretty awful places very far away from
22 home, even though I may not be deeply religious, I
23 sure believe those guys have a special place in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 Heaven.

2 At the same time, I think from the
3 Canadian medical group, I'd like to pass on to you
4 as well our very sincere congratulations on this
5 incredibly successful campaign. Aside from the
6 stunning military success, I think that one thing
7 that's particularly impressed Canadians and I think
8 has resonated around the world, is the very high
9 standard of conduct of the forces combined with
10 evidently a great compassion for the Iraqi people
11 has been extremely visible, and I personally
12 believe that it's taken the winds out of the sails
13 of many potential opponents and naysayers, and I
14 thought you might just appreciate our very
15 heartfelt Canadian perspective on some of those
16 things.

17 As all of our Allies long-time know, we
18 are now entering a phase in war which may be more
19 difficult and protracted, and that being, of
20 course, the stabilization phase. I thought I'd
21 just update you a little bit about Canadian
22 activities in general in that regard. We, of
23 course, are continuing to command the International

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 Naval Interdiction Surveillance Force, and that
2 will carry on. We are sending some combat
3 engineers to Iraq specifically for water and
4 purification expertise. And my companions and
5 comrades north of the border are very busy these
6 days getting ready to deploy about 2,000 troops to
7 Afghanistan. I t's a full battle group and
8 headquarters for a commitment of one year, which we
9 hope will be enough to take them through their
10 first election, and hopefully also allow some of
11 your folks a bit of relief in that theater.

12 And I will now get to the actual issue
13 of the day for Canadians on the Preventive Medicine
14 side, and that of course being SARS. This has been
15 a very up close and personal experience for us. In
16 general, my impression is that we've felt pretty
17 good about the relatively rapid containment that
18 occurred, and also somewhat relieved, I have to
19 say. But we also see great potential here for
20 lessons learned, and I see a number of
21 opportunities arising for that.

22 One of potential interest to this group
23 that I've been involved in arranging in the last

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 week or so is a research team from the Advanced
2 Concepts Division of DTRA came to me, and I put
3 them in touch with the appropriate Canadian
4 contacts in Health Canada, in the public health
5 municipally and militarily, and they are going up
6 to Toronto next month to conduct a bit of a lessons
7 learned type survey, but also a more detailed
8 research look at specific elements of risk
9 communication and how it was done in this scenario,
10 particularly looking at how you do differential
11 risk communication in a multi-ethnic environment
12 like Toronto, and how you do differential risk
13 messaging for higher risk groups such as, in this
14 case, health care workers and their families. And
15 hopefully there will be some interesting findings
16 out of that.

17 They also expressed a specific interest
18 in the quarantine, how it was applied, lessons
19 learned from that. Basically, we threw a very wide
20 net and applied it very quickly. It was voluntary,
21 and there was a high level of compliance with it,
22 although we did have I believe 6 or 7 people who
23 were put in jail for failure to comply.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 The other piece of that that I think is
2 of specific interest to DTRA is what sort of
3 contingency planning may or may not be appropriate
4 in terms of military response relative to enforcing
5 quarantine as a last resort scenario. And so we
6 look forward to collaborating with them in the
7 study, and I'll certainly update the Board on what
8 their findings area.

9 I don't think that we've begun to
10 scratch the surface in terms of lessons learned.
11 Some of the things I'm hearing from my colleagues
12 is, you know, it took us a world global HIV
13 epidemic to look at global blood precautions,
14 universal blood precautions. Perhaps what will
15 come out of this in terms of overall clinical
16 practice, especially in heavy nosocomial
17 transmission settings like the hospitals in
18 Toronto, that we may be looking at universal
19 respiratory precautions down the road. All of
20 these things need to be looked at.

21 What's been very heartwarming, I think,
22 for Canadians from the outset is the instant
23 support, information sharing and collaboration that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 occurred between Health Canada, Province of Ontario
2 Health Department, and CDC, Health and Human
3 Services, and various experts south of the border.

4 I think that bodes well for our future ability to
5 respond to homeland security issues of a medical
6 bent, if you will. And conscious of that, we've
7 also been in discussion with the North Concerge
8 (phonetic), and are going to in fact put a Canadian
9 Medical Staff Officer in the Command Surgeon's
10 staff at NORTHCOM, specifically to make sure that
11 those military medical planning responses to these
12 kind of homeland security continental issues are
13 coordinated right from the get-go. We're also
14 actually this year putting in a full-time Canadian
15 Medical Intelligence Analyst on the AFMIC staff for
16 many of the same reasons, to make sure that we have
17 that ongoing coordination and information sharing.

18 I also would like to take a quick
19 opportunity, knowing time is short, to say goodbye
20 to my departing colleagues. When I first was asked
21 to come to a meeting where there was going to be a
22 "pig" involved, I wondered if there was a
23 particularly unsavory character I hadn't met yet.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 Now I know what it stands for.

2 To Jeff Yund, I'd like to say I'll
3 always remember him for his wisdom and humor, which
4 is a great unique combination. He had this
5 incisive ability, as we say in Saskatchewan, to
6 separate the wheat from the chaff on just about any
7 issue, and get it right down to what matters.

8 I'll never forget Ken Schor,
9 particularly for his bloody-minded determination to
10 look after those wonderful Marines in the very best
11 way, and sometimes in spite of himself. And his
12 continuing application of operational primacy in
13 his decisionmaking, a wonderful example for all of
14 us.

15 And to Ben Diniega, who is not here
16 today, his great knowledge and experience, and I
17 think his biggest asset which I think was his
18 biodetector. It was an infallible biodetector for
19 BS in any form.

20 (Laughter.)

21 If we could transfer that to biochem,
22 we'd be all ahead. And just seeing the ceremony
23 today, and looking and listening to all of you

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 folks as I have for the last few years, it made me
2 think back to the history also, and this visit here
3 I went to the Civil War Military Medical Museum and
4 looked at the figure of almost 45,000 troops on the
5 Union side alone in that conflict, who died of
6 dysentery and diarrhea, and I know that the folks
7 here on a day-to-day basis are struggling with
8 problems and issues and trying to solve them, but I
9 think it's easy to lose sight of the fact that the
10 most important thing in force health protection is
11 what doesn't happen. And when you look at how far
12 force health protection has come and how great a
13 combat multiplier it really is, thanks to I think a
14 lot of folks in this room and the work that you do,
15 even in the last decade we often forget the DNBI
16 rate has been cut in half. So, I thought it just
17 might be worthwhile reminding you of the fact that
18 it's clear to me as an outsider that you're
19 building on a great legacy of success. So I will
20 leave it. If anyone has any questions, please feel
21 free.

22 DR. OSTROFF: Thank you very much, Col.
23 Fensom, for that very helpful presentation.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 I have one quick question for you. I'm
2 curious as to how the Canadian military handled the
3 SARS incubation during the personnel movement, in
4 particular, because one of the issues that we
5 grappled with in terms of some of our
6 decisionmaking was to see what was being done the
7 (inaudible) in terms of the problems (inaudible),
8 whether they were imposing any restrictions of
9 individuals in Toronto. Were there any special
10 restrictions (inaudible) for military personnel
11 (inaudible words)?

12 LtCOL. FENSOM: No, there were not. We
13 actually have a base in Toronto, not a large number
14 of folks. We watched it obviously very closely. We
15 imposed the same screening requirements on our
16 military medical facilities that were recommended
17 by Health Canada for all others. We had Col.
18 Salisbury, who is one of our Public Health Docs in
19 Toronto working closely with the municipal folks
20 there. I suppose those sorts of things are things
21 you look at in contingency planning sense. We
22 didn't feel that we had to go that way in this
23 particular instance, thankfully.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. OSTROFF: Great. Let me just say
2 one of the reason that I think that we were able to
3 not follow the WHO lead (inaudible words).

4 (Technical malfunctions prevented
5 adequate recording of discussion.)

6 LtCOL. FENSOM: And I think very, very
7 critical as a template model for things that we may
8 have to look at continentally in terms of bio-
9 threats in the future.

10 DR. OSTROFF: Thanks very much. We're
11 down to our last presentation if hopefully the
12 group can bear just more before we bring this
13 session to a close. That puts pressure on Col.
14 Neville to be (inaudible) in terms of getting
15 through your presentation. This is a presentation
16 about the influenza surveillance. I suppose you
17 have a lot of slides.

18 (Laughter.)

19 COL. NEVILLE: I'll try to go through
20 them quick. I actually added 4 slides in the
21 middle of it that you don't have in your packets,
22 but I'll explain that when I get to it.

23 (Slide)

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 As you've heard today, I'm from the Air
2 Force Institute for Operational Health, which is a
3 new name change. I say A-F-I-O-H. I've heard
4 AFIOH, AF-I-OH. I'm going to say A-F-I-O-H. We'll
5 see what happens as time goes by.

6 (Slide)

7 Just to recognize some of the
8 contributors to the information I'll show today,
9 some of this comes from the Naval Health Research
10 Center in San Diego. Megan Ryan and Kevin Russell
11 and Tony Hocksworth in particular. And my parent
12 organization, 311th Human Systems Wing at Brooks
13 City Base -- it's not an Air Force Base anymore,
14 either, by the way. And some of my colleagues, of
15 course, include Linda Canus (phonetic), Andrea
16 Kroll, Joe Feig (phonetic), and Angela Owens who
17 prepared a lot of this information.

18 And DOD GEIS is sort of an overseeing
19 organization for us. Influenza Surveillance is done
20 under the auspices of DOD GEIS. This is the exact
21 same slide I've shown before to the AFEB, except
22 the date at the bottom is different.

23 The basic Influenza Surveillance

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 Program in DOD hasn't changed really. There's two
2 main components -- population-based which is
3 managed at NHRC in San Diego, and they primarily do
4 trainee populations of all the services. And
5 there's another slide that will describe that in
6 just a second.

7 And the etiology-based which is managed
8 at Brooks City Base -- I should change that -- and
9 there's another slide in a second the will show how
10 that systematically progresses.

11 Also, the Army Medical Centers do
12 clinical virology, but there's no systematic
13 collection for surveillance purposes.

14 (Slide)

15 So the population-based surveillance at
16 NHRC -- this little map isn't intended to be here
17 so you can read it -- but those are the training
18 sites that they include in their surveillance
19 system. And they basically have research
20 assistants, if you will, at these participating
21 centers that collect demographic data, population
22 data, febrile respiratory illness rates, and
23 collect samples from selected patients or trainees

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 with FRIs and send those to NHRC where pathogens
2 are identified. And any influenza that's
3 identified, selected samples shared with CDC, as
4 needed.

5 (Slide)

6 So, just a couple of quick slides on
7 NHRC's data for this season. Most of it here, this
8 blue, is adenovirus which obviously reflects the
9 trainee -- military trainee population that they're
10 surveying. Not a whole lot of flu. It's kind of
11 hidden in these numbers, not a whole lot of
12 influenza that comes out of these populations.

13 (Slide)

14 For the past almost five years, over
15 11,000 specimens. Pretty much the same as the
16 earlier slide, mostly adenovirus, some negative,
17 and not a whole lot of influenza, but there's some
18 in there. It's very important to note that this
19 trainee population, the U.S. military training
20 population draws from around the nation, including
21 some other countries, and it's a highly
22 concentrated population and a highly immunized
23 population because most of the year they get the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 influenza immunization when they come, so this
2 would be a very useful population perhaps to
3 identify emerging strains. As far as I know,
4 there's no other vaccinated population in the world
5 that is more consistently surveyed than these
6 trainee sites.

7 (Slide)

8 This is the influenza-specific
9 infection rates at basic training centers for the
10 past four or five years since '98, and it's
11 seasonal obviously. There's an occasional case in
12 the summer months, but mostly in the winter.

13 (Slide)

14 Sort of a little bit of a side note,
15 this is adenovirus and total FRI, just to show you
16 the kind of data that NHRC compiles routinely.

17 (Slide)

18 Most FRI rates are attributable to
19 adenovirus in that setting.

20 There may be another slide in your
21 packets, I can't remember. I just for curiosity
22 superimposed those two, and it's interesting that
23 the influenza peaks are smaller, are right when the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 adenovirus is waning, for whatever reason that is.

2 That's interesting.

3 (Slide)

4 So the etiology-based surveillance
5 program run out of Brooks Air Force Base, guidance
6 and direction from DOD GEIS, from AFMOA. Within
7 AFIOH, there's Epi Services, which is a Public
8 Health Officer, Preventive Medicine physicians,
9 epidemiologists, and they do number-crunching and
10 program guidance to the sentinel sites. The
11 laboratory sends instructions and supplies to the
12 sentinel sites. The sentinel sites send the
13 specimens back to the lab. Data-sharing all around
14 here. And any influenza that's isolated, selected
15 influenza isolates, particularly those from
16 overseas, are sent to the CDC where there's a lot
17 of collaboration, and those can help drive vaccine
18 decisions.

19 I should also add that our laboratory
20 is a clinical reference laboratory for the Air
21 Force, the only virology capable lab. And as we
22 get clinical specimens from all the MTFs in the Air
23 Force, and some other services as well. So we use

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 those clinical specimens, combine that in the whole
2 influenza surveillance program, although that's not
3 designed as surveillance.

4 (Slide)

5 So here's a map that you should have in
6 your packets there showing the sentinel
7 surveillance sites around the world. And the only
8 thing that changed from last year are these little
9 green stars here. They may be hard to see, but in
10 March of this year we identified and stood up these
11 four new surveillance sites at operational bases,
12 three Air Force, one Army. We've gotten some from
13 Saudi Arabia, Prince Sultan Air Base, which
14 actually isn't a sentinel site, but the last I knew
15 of, we have gotten specimens from there. Only one
16 influenza H1, I believe, so nothing really
17 dramatic. But this is an area of the world that
18 the World Health Organization does not have good
19 influenza surveillance data from. So on the World
20 Health Organization maps, it's either white or
21 yellow, or they say "not participating" or "no
22 information" typically.

23 (Slide)

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 And a few slides about the results of
2 the data from this year from Brooks. This is the
3 total number of specimens received by week and the
4 percent positive.

5 (Slide)

6 The portion of positive isolates from
7 the whole surveillance program, a lot of
8 adenovirus, that comes from Lackland and Shepherd
9 Air Force Base, but a lot of them are influenza. A
10 lot of positives.

11 (Slide)

12 Influenza A and B this year was a
13 little bit different than other years in that
14 Influenza A and B were found throughout the whole
15 season, almost parallel.

16 (Slide)

17 This is just comparing the CDC's
18 influenza data, so the DOD program found it a
19 little bit earlier, which is attributable to the
20 Pacific Rim sentinel sites.

21 (Slide)

22 These are the slides I inserted, so
23 they are not in the little packets there, just for

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 curiosity. This may be a better way of presenting
2 the data that's on the next chart that's in your
3 packet maybe, but these are from the Asian Pacific
4 sites, including Alaska. H3 is the yellow one, so
5 early on in October we started getting some of
6 those, and a fair number in November, and then
7 December, and so on.

8 (Slide)

9 And the Americas, the CONUS sentinel
10 sites didn't really start until December. There's
11 on here that might have been a B, I think. And
12 there's more Influenza B. I'll talk about this in
13 just a moment.

14 (Slide)

15 And then the Europe and Middle Eastern
16 sentinel sites. They get a little bit more skewed
17 later in the year.

18 (Slide)

19 And this is just all those last three
20 slides together. So we don't type every isolate
21 that we get. Some of those Bs and As are untyped,
22 but we do that -- if we get a whole bunch from one
23 base, we don't type every single one just because

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 it takes a little time to do that.

2 (Slide)

3 That's the chart that I think is in
4 your packet, just again showing that there was more
5 Influenza A from the Pacific than from the other
6 places, generally speaking. A little more
7 Influenza B from CONUS and Europe.

8 (Slide)

9 Just a word about this NATO-WHO
10 workshop that we did in St. Petersburg, Russia
11 about two weeks ago, I guess it was. The title
12 there "Strengthening Influenza Pandemic
13 Preparedness Through Civil-Military Cooperation".
14 Largely funded by NATO. Also funded partially by
15 CDC and partially funded by DOD GEIS. My
16 understanding is it's the first time that there was
17 a workshop co-sponsored by NATO and World Health
18 Organization. There were about 60 participants
19 from 18 different countries. We tried to get at
20 least two people from each country, a military and
21 civilian influenza specialist. It was hosted by the
22 Research Institute for Influenza in St. Petersburg,
23 of the Russian Academy of Medical Sciences. They do

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 a heck of a lot of research in influenza there.
2 Treatment, as well as vaccines, as well as the
3 pathogen surveillance.

4 Just one quick summary, it was three
5 days, of course, but then there's a lot of stuff we
6 talked about, but 5 of the 15 nations present
7 actually had an approved Pandemic plan, and the
8 U.S. of course is not one of those.

9 And Russian national TV had us on their
10 news. Most of the questions were about SARS, as it
11 turns out, but that's okay.

12 (Slide)

13 Just a quick summary. There's a
14 diversity of isolates, mostly from Asia and Pacific
15 were H3N2, Americas were H1s, and B/Hong Kong was
16 from pretty much everywhere this year. There was
17 some variation in the hemagglutinin compared to
18 last year's viruses, and this apparently translates
19 into a little bit of antigenic difference from last
20 year's circulating viruses as well, but I'm told
21 that they have not been able to identify a strain
22 that will grow well enough to produce vaccine, so
23 we're sticking with the old vaccine from last year,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 the same strain, but that will bear some close
2 surveillance.

3 And I mentioned this thing at the
4 bottom, in February there was a big peak in the
5 Influenza B isolates. There was an outbreak of
6 febrile respiratory illness in -- well, Little
7 Creek was one of the places -- in the Tidewater
8 area, and so that generated large volumes of
9 respiratory specimens. And I guess it turned out
10 to be a good thing for an exercise anyway. In our
11 influenza surveillance plan there's a process for
12 sharing resources among all the three services --
13 the Army Medical Centers, NHRC and my organization
14 -- and we actually exercised this plan. So I don't
15 know how many -- several hundreds, maybe a total of
16 300 over a few weeks -- specimens came to our lab.
17 We shipped a bunch to NHRC, a bunch to Brook Army
18 Medical Center there in San Antonio, and shared
19 that workload, which is exactly what our plan
20 called for, and there's a few lessons learned about
21 sharing information, and some HPPA things, and that
22 kind of stuff. So there were some lessons learned
23 from that.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 I think that's all. There are some
2 backup slides in the handouts, but we don't need to
3 go over those -- unless you want to.

4 DR. OSTROFF: Thanks very much. Let me
5 open it up to questions or comments. We can't go a
6 whole meeting without bringing up our eternal
7 consternation about the adenovirus (inaudible), but
8 since you raised it, I'd just point out that it's
9 remarkable how effective vaccines are because
10 obviously the influenza vaccination program in the
11 military works quite well, and the backup
12 adenovirus vaccination program serves the military
13 quite (inaudible words).

14 CAPT. YUND: Do you type a subset of
15 the adenos from your (inaudible) patients? Is it
16 all 4 and 7?

17 COL. NEVILLE: Four and seven. NHRC
18 does a lot more of that than we do, but we only see
19 4 and 7.

20 (Technical malfunctions prevented
21 adequate recording of discussion.)

22 DR. GAYDOS: Joel Gaydos, DOD GEIS.
23 James, in your isolate sentinel site for your

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Pacific/Alaska region, on one of your bars you had
2 more than 40 percent adenovirus. I assume that's
3 not a training site.

4 COL. NEVILLE: If you give me a second,
5 I might be able to look it up. I don't know.
6 That's probably a spot with very low numbers of
7 isolates because that's a percent bar graph. That
8 would be Anderson Air Force Base at Guam. I don't
9 have the number of isolates that they submitted,
10 but I think that's a small number. So it may not
11 be necessarily representative.

12 DR. OSTROFF: Any other questions?

13 (No response.)

14 If not, thanks very much for your
15 presentation. It's getting a bit late. What I'm
16 going to do is just ask Rick if there are any
17 closing administrative comments that he wants to
18 relay, but before I do that let me once again thank
19 all of the presenters for I think a very
20 interesting, informative, and useful couple of
21 days. I will echo Col. Fensom's comments, which I
22 should have made at the opening. We sort of
23 glossed over the fact that there was a major

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 conflict, and I think our hearts and our thoughts
2 go to all of the personnel who are part of that
3 conflict, and we certainly appreciate the
4 tremendous work and respect all of the Armed
5 Forces, and I think that's certainly why all of us
6 who are here and as all of you know, all you have
7 to do is ask us for help and many of us would drop
8 anything else we are doing at the time to provide
9 that assistance because it's very important to us
10 on this Board.

11 So thank you again, I think you're all
12 doing a tremendous job, and we look forward to
13 continuous ability to work with you and support
14 you. So, let me turn it over to Rick.

15 COL. RIDDLE: I just have a couple of
16 comments. I want to reiterate the appreciation
17 that we have for the Preventive Medicine Liaison
18 Officers. If it wasn't for you, we wouldn't have
19 the meetings, we wouldn't have the presentations,
20 we wouldn't be able to have that operational
21 interface. And certainly the short time I have
22 been on the Board, it has been an exceptional
23 working relationship, and we're going to miss all

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 of you who are leaving. Also, don't
2 forget to turn in your certificates. I think
3 that's program has worked pretty good. People seem
4 to be appreciative of that, so we'll continue to
5 work that in.

6 I want to thank Jean and Karen and
7 Severine, the folks that acted as hosted us
8 yesterday, and the folks here at USAMRIID that
9 hosted us today. It's a lot of work. They put out
10 a lot to make this happen for us, and we're
11 certainly appreciate that.

12 Don't forget to turn in your travel
13 vouchers to Jean. If not, get those e-mailed, or a
14 phone call followup. We want to get you paid and
15 make that happen as quick as we can. Again, if you
16 have any comments for us and a way that we can
17 improve the way we do business, please let us know.

18 We added a little bit more time on the agenda for
19 presentations at this meeting, I think it worked
20 well. Particularly in the Executive Session the
21 first day it worked very well. All of those have
22 come from you all as changes you would like to see
23 made.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 There will be a news article out on the
2 award, we'll have something up on the Website, and
3 certainly appreciate everybody bearing with us on
4 that. Have a safe trip home, and we'll see you in
5 the fall.

6 DR. OSTROFF: Before we leave, I'd be
7 very remiss if I didn't acknowledge the fine work
8 that Col. Riddle has done. It's important to me
9 that we wouldn't received the award if not for all
10 the fine work that you did. So let's give him a
11 hand.

12 (Applause.)

13 So, with that I'm going to rap the
14 gavel and bring the meeting to a close. Thanks
15 again.

16 (Whereupon, at 4:45 p.m., the meeting
17 was concluded.)

18
19
20
21
22
23

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1
2
3
4
5
6
7
8
9
10
11
12

NEAL R. GROSS
COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701